

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
CENTERS FOR DISEASE CONTROL AND PREVENTION

convenes the

ADVISORY COMMITTEE ON IMMUNIZATION PRACTICES

VOLUME I - DAY ONE

The verbatim transcript of the ACIP Conference commencing at 8:30 a.m. on Wednesday, February 21st, 2001, at the Marriott Century Center Hotel, Atlanta, Georgia.

C O N T E N T S

PARTICIPANTS (by group, in alphabetical order).....	4
PROCEEDINGS:	
Welcome	
Dr. Modlin.....	10
Dr. Snider.....	10
Disclosure by Committee Members.....	19
INFLUENZA VACCINE	
Dr. Fukuda.....	30
Dr. Graham.....	31
Dr. Bridges.....	35
INFLUENZA VACCINE SUPPLY AND DELAY	
Dr. Myers.....	85
Dr. Baylor.....	92
Mr. Mason.....	98
UPDATE ON LIVE-ATTENUATED INFLUENZA VACCINE	
Dr. Fukuda.....	125
SMALLPOX VACCINE RECOMMENDATIONS	
Dr. Helms.....	141
Dr. Rotz.....	142
UPDATE ON TD AND DTAP VACCINE SUPPLY	
Dr. Wharton.....	182
Mr. Mason.....	183
Dr. Howe.....	196
Dr. Hosbach.....	197
Dr. Lee.....	202
Dr. Zanardi.....	203
Dr. Bisgard.....	206
UPDATE ON THIMEROSAL-RELATED RESEARCH	
Dr. Bernier.....	229
Dr. Heilman.....	231
Dr. Mootrey.....	244
POLIO OUTBREAK IN THE DOMINICAN REPUBLIC	
Dr. de Quadros.....	259
Dr. Kew.....	269
Dr. Sutter.....	282

(continued)

DOSE REDUCTION OF IPV  
    Dr. Offit.....306  
    Dr. Cono.....317

PUBLIC COMMENT

ADJOURN

CERTIFICATE OF REPORTER.....325

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

Chairman:

John F. Modlin, M.D.  
Professor of Pediatrics and Medicine  
Dartmouth Medical School  
Lebanon, New Hampshire

Executive Secretary:

Dixie E. Snider, Jr., M.D.  
Associate Director for Science  
Centers for Disease Control and Prevention  
Atlanta, Georgia

Members:

Dennis A. Brooks, M.D., M.P.H.  
Assistant Professor of Pediatrics  
Johns Hopkins School of Medicine  
Baltimore, Maryland

Richard D. Clover, M.D.  
Professor and Chairman  
Department of Family and Community Medicine  
University of Louisville  
Louisville, Kentucky

Jaime Deseda-Tous, M.D.  
Associate Professor of Pediatrics  
San Jorge Children's Hospital  
San Juan, Puerto Rico

Charles M. Helms, M.D., Ph.D.  
Professor of Medicine/Chief of Staff  
University of Iowa Hospital and Clinics  
Iowa City, Iowa

David R. Johnson, M.D., M.H.P.  
Deputy Director and Chief Medical Executive  
Michigan Department of Community Health  
Lansing, Michigan

Myron J. Levin, M.D.  
Professor of Pediatrics and Medicine  
Chief, Pediatric Infectious Diseases  
University of Colorado School of Medicine  
Denver, Colorado

Paul A. Offit, M.D.  
Chief, Section of Infectious Disease  
The Children's Hospital of Philadelphia  
Philadelphia, Pennsylvania

Margaret B. Rennels, M.D.  
Department of Pediatrics  
University of Maryland School of Medicine  
Baltimore, Maryland

Natalie J. Smith, M.D., M.P.H.  
Chief, Immunization Branch  
Division of Communicable Disease Control  
Berkeley, California

Lucy S. Tompkins, M.D., Ph.D.  
Professor, Departments of Medicine  
and Microbiology and Immunology  
Stanford University Medical Center  
Stanford, California

Bonnie M. Word, M.D.  
Monmouth Junction, New Jersey

Ex Officio Representatives:

James E. Cheek, M.D., M.P.H.  
Principal Epidemiologist for  
Infectious Disease  
Indian Health Service  
Division of Community and Environmental Health  
Albuquerque, New Mexico

Benedict M. Diniega, M.D., Col.  
Program Director  
Preventive Medicine and Surveillance  
Office of the Assistant Secretary of  
Defense for Health Affairs  
Falls Church, Virginia

Geoffrey Evans, M.D.  
Chief Medical Officer  
Division of Vaccine Injury Compensation  
Bureau of Health Professions  
Health Resources and Services Administration  
Rockville, Maryland

T. Randolph Graydon  
Director, Division of Advocacy and Special Issues  
Center for Medicaid and State Operations  
Baltimore, Maryland

Carole Heilman, M.D.  
Director, Division of Microbiology  
and Infectious Disease Branch  
NIAID/NIH  
Bethesda, Maryland

Karen Midthun, M.D.  
Director, Office of Vaccine Research and Review  
Center for Biologics Evaluation and Research  
Food and Drug Administration  
Bethesda, Maryland

Martin G. Myers, M.D.  
Acting Director  
National Vaccine Program Office  
Atlanta, Georgia

Kristin Lee Nichol, M.D.  
Professor of Medicine  
University of Minnesota

Minneapolis, Minnesota

Liaison Representatives

American Academy of Family Physicians

Martin Mahoney, M.D., Ph.D.

Kaleida Health and DeGraff Family Physicians  
Tonawanda, New York

Richard Zimmerman, M.D.

Department of Family Medicine  
and Clinical Epidemiology  
University of Pittsburgh  
School of Medicine  
Pittsburg, Pennsylvania

American Academy of Pediatrics

Larry Pickering, M.D.

Director, Center for Pediatric Research  
Children's Hospital of the King's Daughter  
Norfolk, Virginia

Jon Abramson, M.D.

Weston M. Kelsey Professor and Chair  
Department of Pediatrics  
Wake Forest University School of Medicine  
Winston-Salem, North Carolina

American Association of Health Plans

Eric France, M.D.

Assistant Chief of Preventive Medicine  
Kaiser Permanente  
Denver, Colorado

American College of Obstetricians and Gynecologists

Stanley A. Gall, M.D.

Department of OB/GYN  
University of Louisville School of Medicine  
Louisville, Kentucky

American College of Physicians

Kathy Neuzil, M.D.

VA Puget Sound Health Care System  
Seattle, Washington

American Hospital Association

William Schaffner, M.D.

Professor and Chairman

Department of Preventive Medicine  
Vanderbilt University  
Nashville, Tennessee

American Medical Association

H. David Wilson, M.D.  
Professor of Pediatrics  
University of North Dakota  
Grand Fork, North Dakota

Association of Teachers of Preventive Medicine

W. Paul McKinney, M.D.  
Chief Professor and Chief  
Division of Internal Medicine  
University of Louisville  
Louisville, Kentucky

Biotechnology Industry Organization

Vacant

Canadian National Advisory Committee on Immunization

Victor Marchessault, M.D.  
Cumberland, Ontario  
Canada

Hospital Infection Control Practices Advisory Committee

Jane D. Siegel, M.D.  
Professor of Pediatrics  
University of Texas  
Dallas, Texas

Infectious Diseases Society of America

Samuel L. Katz, M.D.  
Wilburt C. Davison Professor  
Duke University Medical Center  
Durham, North Carolina

National Immunization Council and Child Health Program

Jose Ignacio Santos  
Ministry of Health  
Mexico

National Medical Association

Rudolph E. Jackson, M.D.  
Professor, International Health Program  
Morehouse School of Medicine  
Atlanta, Georgia

National Vaccine Advisory Committee

Georges Peter, M.D.

Division of Pediatric Infectious Diseases

Rhode Island Hospital

Providence, Rhode Island

Pharmaceutical Research and Manufacturers of America

Barbara J. Howe, M.D.

Vice President and Director

Clinical/Medical Affairs, Vaccines

SmithKline Beecham Pharmaceutical Co.

Collegeville, Pennsylvania

1 P R O C E E D I N G S

2 8:30 a.m.

3 DR. MODLIN: Good morning. I would like to welcome  
4 everyone to the February meeting of the Advisory  
5 Committee on Immunization Practices. We will get  
6 started by turning things over to Dr. Dixie Snider, who  
7 is Executive Secretary of the Committee. Dixie?

8 DR. SNIDER: Thank you, John. Good morning to everyone  
9 and welcome to the Advisory Committee on Immunization  
10 Practices. If that's not the meeting you intended to  
11 attend, you might want to look at the signs outside the  
12 doors down the hall.

13 We're pleased to welcome three new members to the  
14 Committee: Dr. Jaime Deseda-Tous, Associate Professor  
15 in Pediatrics at the San Jorge Children's Hospital in  
16 San Juan, Puerto Rico; Mr. Myron Levin, Chief,  
17 Pediatric Infectious Diseases at the University of  
18 Colorado School of Medicine in Denver, Colorado; and  
19 Dr. Natalie Smith, Chief, Immunization Branch,  
20 California Department of Health Services in Berkeley,  
21 California.

1 Also new to the Committee is the ex officio from the  
2 Department of Defense, Colonel Benedict Diniega.

3 Joining the ACIP as liaison representative is Dr. Kathy  
4 Neuzil for the American College of Physicians and Dr.  
5 David Salisbury for the London Department of Health.  
6 Unfortunately, Dr. Salisbury is unable to be with us  
7 today.

8 Dr. Jose Ignacio Santos is not with us today. However,  
9 Dr. Margarita Nava will be serving as the liaison from  
10 the National Immunization Council and the Child Health  
11 Program in Mexico.

12 On December the 14th of 2000, Dr. Copeland signed an  
13 amendment to the ACIP Charter adding three additional  
14 members to the Committee. Actually, John, Walt, and  
15 several of us had talked about the workload on the  
16 Committee and decided that we needed additional people  
17 to keep up with the activities. Because of the time  
18 involved in processing the nominees, the new members  
19 are not yet appointed. However, because of the  
20 increased members to the Committee, the quorum for ACIP  
21 is now at eight. Therefore, it's important that the 12

1 appointed members present today return from lunch and  
2 break in a timely manner to assure that a quorum is  
3 present at all times.

4 Also, be aware that the meeting will go to 3:45  
5 tomorrow, and I'm requesting that members not leave the  
6 meeting early.

7 I believe, by now, everyone is aware of the ACIP e-mail  
8 address. It's very simple: [acip@cdc.gov](mailto:acip@cdc.gov). Please  
9 continue to use this address for all e-mail  
10 correspondence related to ACIP. In addition, ACIP now  
11 has a home page. The ACIP home page is located at  
12 [www.cdc.gov/nip/acip](http://www.cdc.gov/nip/acip). You'll find the address on a  
13 bright pink paper in your books and at the back of the  
14 room. The home page contains the Charter, the members,  
15 dates, and locations of the scheduled meetings, and  
16 when an agenda is formulated, it will be posted on the  
17 home page and updated regularly as changes occur.  
18 There also is a direct link to the ACIP recommendations  
19 and the VFC resolutions from the ACIP home page.  
20 The ACIP Policies and Procedures document will be added  
21 to the home page when it's completed. We've been

1 working on that in conjunction with the Office of  
2 General Counsel and the Office of Government Ethics in  
3 D.C. The document is undergoing revisions that we --  
4 as we consider internal issues. An issue that is  
5 taking considerable discussion time is the process of  
6 determining future candidates for nominations to ACIP.

7 Approaches we are considering including -- we're  
8 considering including are not nominating individuals  
9 who have certain relationships unless those  
10 relationships are severed or alternatively not  
11 providing waivers for certain relationships. Examples  
12 would include: stock ownership, direct stock ownership  
13 in vaccine companies; membership on a vaccine  
14 manufacturer's advisory board when the scope of advice  
15 goes beyond technical to business advice, is what we're  
16 trying to get at; and serving as an expert witness on  
17 behalf of a vaccine manufacturer. Again, I'm talking  
18 about during the tenure on ACIP, not before or after  
19 service on the ACIP. So I just wanted to give you a  
20 flavor of some of the things we're thinking about. If  
21 any of you have some comments, we can talk about it

1 individually at breaks.

2 The next ACIP meeting is June 20th-21st, 2001. It's  
3 scheduled to be held here at the Marriott Century  
4 Center. The following meeting is October 17th and  
5 18th. Committee members will find the dates on yellow  
6 paper in their book. These dates are also available on  
7 the handout table.

8 The dates for the 2002 meetings will be set at the next  
9 meeting. We'll have those available for you.

10 We've met here so much, I think most people know that  
11 the rest rooms are located down the hall to my right.  
12 You'll find the restaurant in the lobby of the hotel.  
13 The Adult Working Group will meet at the hotel  
14 restaurant during lunch. There will be an area set  
15 aside in the back of the restaurant and the attendant  
16 can direct you to where that working group is going to  
17 be meeting.

18 Dinner this evening is at the 57th Fighter Group on  
19 Clairmont Road. There's a set menu with six entrees  
20 from which to choose. Dinner will be 26 dollars, which  
21 includes tax and gratuity, and dining is casual. A

1 cash bar is available.

2 There is a pink sheet available to you. If you'll just  
3 indicate your choice of entree on the menu in your  
4 notebook and return it with the cost of the dinner to  
5 Gloria or Latarsha by noon. If you need a menu, please  
6 see Latarsha or Gloria. We'll leave from the lobby of  
7 the hotel at 7:15.

8 For those of you driving, if you go out of the hotel  
9 parking lot and turn left and go down to Clairmont and  
10 take a right and drive straight on down Clairmont,  
11 you'll come right to the restaurant. It's about two  
12 miles.

13 The ACIP Charter gives me, the Executive Secretary, the  
14 authority to temporarily designate the ex officio  
15 members as voting members. This does not take place  
16 unless there are less than eight appointed members not  
17 qualified to vote due to a financial conflict of  
18 interest. The ex officio members will be formally  
19 requested to vote when necessary. The ACIP has always  
20 held open discussion and reserved meeting time for  
21 official public comment, but we have restricted time in

1 which to conduct business. Therefore, in some limited  
2 circumstances, we've scheduled a formal comment period  
3 during the deliberation of an agenda item. Casual  
4 comments are received during open discussion depending  
5 upon the amount of time available, and these comments  
6 need to be restricted in time in order to keep within  
7 our allotted agenda.

8 Those members of the public who wish to address the  
9 Committee today or tomorrow should sign up with Gloria  
10 or Latarsha so that we can arrange time for you to make  
11 your comments.

12 For those of you not familiar again with the logistics  
13 of the Committee, the appointed Committee members and  
14 the CDC support folks are located at this inner table.

15 The ex officios and liaison representatives are seated  
16 at the outer table.

17 Because it is important for us to hear all comments,  
18 we've set a microphone at each end of the Committee  
19 tables for members of the audience to use when they  
20 address the Committee, and I would appreciate that  
21 anyone who wishes to comment step up to the microphone.

1 This not only enables us to hear your questions and  
2 comments, but we are taping this session and it would  
3 allow for your commentaries to be recorded clearly.  
4 And also, I would ask, when you come to the microphone  
5 or when you begin speaking, if the Chair hasn't  
6 recognized you by name, please identify yourself.  
7 I think that's all the housekeeping I have, John.

8 **DR. MODLIN:** Terrific. Thanks, Dixie.

9 Let me add my personal welcome to Dr. Deseda-Tous, to  
10 Dr. Levin, and Dr. Smith as new members of the  
11 Committee. I also would like to add my personal  
12 welcome to Dr. Diniega and Dr. Neuzil, who will be  
13 joining as liaisons and ex officio members, and I also  
14 welcome Dr. Nava from Mexico.

15 I also want to personally congratulate Melinda Wharton,  
16 who is our new Director of the Division of Epidemiology  
17 and Surveillance. We welcome Melinda to the table  
18 formally.

19 You will find in the back of your books the Childhood  
20 Immunization Schedule for the current year, the Joint  
21 Statement on thimerosal in Vaccines, and also the

1 recently-published anthrax recommendation that we  
2 completed at the last Committee meeting. There are  
3 also a number of information pieces and updates from  
4 the MMWR that have been published since last October  
5 and they're in the back of your books as well. You  
6 will find that these and a few related articles are in  
7 the accordion folder in the back of the book.

8 Dixie has already mentioned the dates of the next  
9 meeting, which, again, will be June 20th and 21st here  
10 at the Marriott Century Center, and Dixie has also  
11 announced dinner plans for tonight, but I would remind  
12 everyone -- those of you who are planning on attending  
13 the dinner to fill out the pink sheet and give it to  
14 Gloria or Latarsha prior to the lunch break.

15 It's critically important for everyone to be able to  
16 hear, that all of the Committee members who are seated  
17 at the tables and those of you in the audience who are  
18 participating, speak directly into the microphones and  
19 we would certainly appreciate those of you in the  
20 audience who have comments identifying yourself prior  
21 to making your comment.

1 At this time, I'm going to ask each of the voting  
2 members of the Committee to introduce themselves and,  
3 at the same time, to disclose whatever financial  
4 conflicts of interest they may have. I want to remind  
5 everyone that ACIP members who may have a potential  
6 conflict of interest should make it known at this time.

7 All members, regardless of a conflict, may participate  
8 in discussions of all issues, provided their full  
9 disclosure of potential conflicts of interest has  
10 occurred. However, the person or persons with a direct  
11 conflict of interest may not vote on any issue related  
12 to the conflict. Only members need to disclose. The  
13 ex officio and liaison members are not required to  
14 disclose their conflicts, although I think we clearly  
15 would hope that if you do have conflicts of interest,  
16 you would make it known.

17 Members with financial conflicts of interest must  
18 abstain from voting on the Vaccines for Children  
19 resolutions since a conflict may also appear to be  
20 present if such a member is allowed to introduce or  
21 second a vote of a VFC resolution. ACIP's policy

1 prohibits a member with financial conflicts of interest  
2 from introducing or seconding an ACIP vote or VFC  
3 resolution.

4 So why don't we start -- We'll go around  
5 counterclockwise this time, beginning with Dr. Brooks.

6 **DR. BROOKS:** Yes. I'm Dr. Brooks from Johns Hopkins  
7 School of Medicine. I have no conflicts of interest.

8 **DR. CLOVER:** I'm Richard Clover, University of  
9 Louisville, and Professor and Chair of the Department  
10 of Family and Community Medicine. I or my department  
11 have received funding from Wyeth, Merck, SmithKline,  
12 Bayer, and Astra Seneca [phonetic].

13 **DR. WORD:** My name is Bonnie Word. I'm a pediatrician  
14 from New Jersey, and I participated recently at an  
15 Advisory Committee meeting for Merck.

16 **DR. HELMS:** I'm Charles Helms. I'm a professor at the  
17 University of Iowa and Chief of Staff at University of  
18 Iowa Hospitals and Clinics. I have no financial  
19 conflict of interest, but I did participate as a  
20 consultant at the Merck Vaccine Division's National  
21 Immediately Advisory Board in November. I took no

1 honorarium for that.

2 **DR. TOMPKINS:** I'm Lucy Tompkins, a Professor of  
3 Medicine from Stanford University, and I have no  
4 conflicts of interest.

5 **DR. RENNELS:** Margaret Rennels, University of Maryland,  
6 Center for Vaccine Development. I am doing vaccine  
7 trials for Wyeth-Lederle, Aventis Pasteur, Glaxo  
8 SmithKline, and Merck, and I chair a safety monitoring  
9 board for Aventis Pasteur.

10 **DR. OFFIT:** I'm Paul Offit from the Children's  
11 Hospital, Philadelphia, and the University of  
12 Pennsylvania School of Medicine. I am the co-holder of  
13 the patent on a bovine human rotavirus vaccine  
14 and serve as an unpaid consultant to Merck on the  
15 development of that vaccine.

16 **DR. SMITH:** I'm Natalie Smith from the California  
17 Department of Health Services. I have no conflicts of  
18 interest.

19 **DR. LEVIN:** Myron Levin, University of Colorado Health  
20 Sciences Center. I have -- I do clinical research with  
21 Merck, SmithKline, Glaxo, and Medimmune, and I have

1 stock in Glaxo SmithKline and Baxter.

2 **DR. JOHNSON:** I'm David Johnson with the State Health  
3 Department in Michigan. I have no conflicts of  
4 interest.

5 **DR. DESEDA:** I'm Jaime Deseda from University of Puerto  
6 Rico School of Medicine, and I have no conflicts of  
7 interest.

8 **DR. MODLIN:** John Modlin from Dartmouth Medical School,  
9 and I have no conflicts of interest.

10 Why don't we introduce each of the CDC representatives,  
11 beginning with Alison.

12 **DR. MAWLE:** I'm Alison Mawle. I'm the Vaccine  
13 Coordinator for the National Centers for Infectious  
14 Diseases at CDC.

15 **DR. WHARTON:** Melinda Wharton, Epidemiology and  
16 Surveillance Division, National Immunization Program.

17 **DR. ORENSTEIN:** Walt Orenstein, National Immunization  
18 Program.

19 **DR. MASTRO:** Tim Mastro, HIV Vaccine Section  
20 [inaudible] for HIV, STD, and TB prevention.

21 **DR. MODLIN:** Thank you. I expect that there are

1 probably very few people in this room that know that  
2 Dr. Helms is going to be making his solo vocalist debut  
3 as a vocal soloist. Is it in the first week in June,  
4 Chuck?

5 (LAUGHTER)

6 **DR. MODLIN:** I just wanted to pass that on to the  
7 Committee -- the Committee wishes you all the best.

8 **DR. HELMS:** I don't know who your lines of  
9 communication are, but they're good.

10 (LAUGHTER)

11 **DR. MODLIN:** Let's go on and ask the liaison members to  
12 introduce themselves, if you would, please, and then  
13 the ex officios, beginning with Dr. Howe.

14 **DR. HOWE:** Good morning. Dr. Barbara Howe from Glaxo  
15 SmithKline, liaison member for Pharmaceutical  
16 Manufacturers Research.

17 **DR. PETER:** Georges Peter from the Department of  
18 Pediatrics, Brown Medical School, and I'm the liaison  
19 representative and Chair of the National Vaccine  
20 Advisory Committee.

21 **DR. PICKERING:** Larry Pickering, Director of the Center

1 for Pediatric Research in Norfolk, editor of the Red  
2 Book of the American Academy of Pediatrics.

3 **DR. ABRAMSON:** Jon Abramson, Chair of Department of  
4 Pediatrics at Wake Forest School of Medicine and Chair  
5 of the Committee on Infectious Diseases for the  
6 American Academy of Pediatrics.

7 **DR. MAHONEY:** Good morning. Martin Mahoney, liaison  
8 from the American Academy of Family Physicians.

9 **DR. ZIMMERMAN:** Rick Zimmerman, University of  
10 Pittsburgh, liaison from the American Academy of Family  
11 Physicians.

12 **DR. WILSON:** David Wilson from the University of North  
13 Dakota, liaison for the American Medical Association.

14 **DR. SCHAFFNER:** Bill Schaffner from the Department of  
15 Preventive Medicine at Vanderbilt in Nashville. I'm  
16 liaison from the American Hospital Association.

17 **DR. NEUZIL:** Kathy Neuzil from the University of  
18 Washington. I'm the liaison from the American College  
19 of Physicians, and I do receive research grants from  
20 Glaxo Wellcome and Aventis Pasteur.

21 **DR. MCKINNEY:** I'm Paul McKinney, Professor of

1 Medicine, University of Louisville, liaison for the  
2 Association of Teachers of Preventive Medicine.

3 **DR. SIEGEL:** Jane Siegel, Department of Pediatrics,  
4 University of Texas Southwestern Medicine Center and  
5 the liaison from Healthcare Infection Control Practices  
6 Advisory Committee, or HICPAC.

7 **DR. KATZ:** Samuel Katz, pediatrician, professor at Duke  
8 University, representing the Infectious Diseases  
9 Society of America. The only grant I currently have is  
10 from the Gates Foundation and I don't think Microsoft  
11 makes vaccines.

12 **DR. MODLIN:** Not yet.

13 **DR. FRANCE:** I'm Eric France from Kaiser, Colorado,  
14 liaison representative from the American Association of  
15 Health Plans.

16 **DR. JACKSON:** Rudolph Jackson, Department of Pediatrics  
17 and International Health, Morehouse School of Medicine,  
18 liaison member representing the National Medical  
19 Association.

20 **DR. NAVA:** Margarita Nava from Mexico City. I  
21 represent Dr. Jose Ignacio Santos from the National

1 Immunization Council from Mexico.

2 **DR. MARCHESSAULT:** Victor Marchessault, Infectious  
3 Disease from the University of Ottawa, Chairman of the  
4 Committee of the National Advisory Committee of  
5 Immunization.

6 **DR. MYERS:** Martin Myers, National Vaccine Program  
7 Office.

8 **DR. DINIEGA:** Ben Diniega, Department of Defense,  
9 Health Affairs.

10 **DR. GRAYDON:** Randy Graydon, representing the Health  
11 Care Financing Administration.

12 **DR. CHEEK:** Jim Cheek, Indian Health Service.

13 **DR. HEILMAN:** Carole Heilman, NIH.

14 **DR. MIDTHUN:** Karen Midthun, FDA.

15 **DR. EVANS:** Geoffrey Evans, National Vaccine Injury  
16 Compensation Program, HRSA.

17 **DR. MODLIN:** I'd like to, before beginning the official  
18 agenda, make a note that we will be forming two new  
19 advisory committees very shortly, actually with this --  
20 I'm sorry, working groups, pardon, working groups,  
21 thank you, Dixie -- to begin with this meeting. One

1 will be a working group to examine the data on rhesus  
2 rotavirus vaccine and intussusception and other  
3 rotavirus vaccines, although principally the RotaShield  
4 product. I think, as everyone knows, there are studies  
5 that are being conducted and have been conducted, some  
6 of which are complete and some of which are not, some  
7 of which are being conducted by the CDC, some under the  
8 auspices of NIH. Hopefully, most of this information  
9 will be available in a complete form over the next few  
10 months, and we would like for the working group to have  
11 an opportunity to examine these data in detail and  
12 ultimately bring this information back to the  
13 Committee, probably in October, for a full discussion  
14 at that time.

15 Those of you who volunteered to serve on the working  
16 group will probably need to commit to attend a meeting  
17 on -- specifically on this topic that will be held  
18 under the auspices of the NVPO. That meeting will  
19 probably be in September. Marty, do we have any dates  
20 yet?

21 **DR. MYERS:** September 5th, 6th, and 7th.

1 DR. MODLIN: September 5th, 6th, and 7th. Let me ask  
2 who would be --

3 DR. MYERS: And those dates are locked in. So . . .

4 DR. MODLIN: September 5th, 6th, and 7th for a two-and-  
5 a-half-day meeting?

6 DR. MYERS: Two-and-a-half-day meeting to examine the -  
7 - all of the science related to rotavirus vaccine and  
8 intussusception.

9 DR. MODLIN: Thanks, Marty.

10 Could I ask who would be interested in serving on this  
11 working group? First of all, voting members of the  
12 Committee.

13 (SHOW OF HANDS)

14 DR. MODLIN: Okay. Dr. Deseda, Dr. Levin, Dr. Offit,  
15 Dr. Rennels. Then liaisons: Dr. Peter, Dr. Pickering,  
16 Dr. Katz, Dr. France, and Dr. Evans, and Dr. Jackson.  
17 Thank you.

18 The second working group will be a working group to  
19 focus on the development of the Harmonized Schedule. I  
20 think, as everyone knows, the Harmonized Schedule is  
21 published by the ACIP, the American Academy of

1 Pediatrics, and the American Academy of Family  
2 Physicians as a collaborative exercise. It's a  
3 process. The last few years has normally been that we  
4 put together a small group at the last minute, just  
5 before the October meeting, to discuss the Harmonized  
6 Schedule, try to hammer it through at the October  
7 meeting, and then it's published in -- early in the  
8 year on an annual basis. I think we recognized that  
9 the process probably could stand some improvement. So  
10 we would like to have a working group that will not  
11 only focus on the process of developing a Harmonized  
12 Schedule, but also serve to work together to actually  
13 develop the Harmonized Schedule for this next year. I  
14 think several different options are under  
15 consideration. One would be publishing the Harmonized  
16 Schedule in different formats; certainly the option of  
17 publishing it in an electronic form perhaps so it could  
18 be updated on a continuous basis, rather than once a  
19 year, would be an advantage to many of us. Obviously,  
20 this is an issue that affects each of the three  
21 organizations that would have input, so that this would

1 be a broad working group at least organized here at the  
2 ACIP.

3 Maybe I could ask for, first of all, voting members who  
4 would be interested in serving on this group. Dr.  
5 Smith, Dr. Brooks, terrific, and Dr. Clover. How about  
6 liaison members? Dr. Peter --

7 **DR. ABRAMSON:** Can I nominate someone from my  
8 committee? Is that okay?

9 **DR. MODLIN:** Absolutely.

10 **DR. ABRAMSON:** Then I would nominate Dr. Charles  
11 Prober, who is an associate editor of the Red Book and  
12 on the --

13 **DR. MODLIN:** So Dr. Charles Prober would represent the  
14 Academy for the AAP.

15 Dr. Zimmerman, Dr. Siegel. That's about the right  
16 size. Terrific. We will get both of these working  
17 groups up and running shortly after this meeting.  
18 The third group is not actually a working group, but I  
19 think that with a reasonable degree of assurance, I can  
20 state that we hope to and probably will have a  
21 hepatitis B statement, a draft for the Committee to

1 finally sign off on in June. In order to get there, I  
2 would like to ask one or two members, voting members of  
3 the Committee, to work with Hal Margolis to bring this  
4 to completion. We think we're almost there, but if  
5 there's anyone who is interested in working on the hep  
6 B statement between now and June, if you would let me  
7 know. It doesn't need to be now, but sometime during  
8 the meeting.

9 With that, we'll go on to the first item on the agenda,  
10 and we're going to be spending the morning talking  
11 about influenza and influenza vaccine, and this will be  
12 introduced by --

13 **DR. SNIDER:** I just wanted to welcome Dr. David  
14 Fleming, the Deputy Director for Science and Public  
15 Health at CDC, who has just joined us at the table.

16 **DR. MODLIN:** Welcome, Dave.

17 **DR. FLEMING:** It's nice to be here.

18 **DR. MODLIN:** Keiji?

19 **DR. FUKUDA:** Thanks, John. I think that over the next  
20 couple of hours, we have several items to discuss about  
21 influenza. Just to note that there are a couple of

1 speaker changes on the agenda.

2 In the place of Dr. Cox, Ms. Lynette Graham will be  
3 giving the update on what the influenza season has been  
4 like this year and will inform the Committee about what  
5 the new vaccine strains will be for the coming season.

6 And I believe in the second session having to do with  
7 the vaccine supply situation, Dr. Norman Baylor will be  
8 speaking instead of Dr. Karen Midthun for FDA. I think  
9 that Mr. Dean Mason will be speaking in the place of  
10 Dr. Lance Rodewald.

11 Anyway, so without much further ado, what we'll first  
12 do is go over what the season has been like this year,  
13 what the vaccine strain selection has been, both at the  
14 FDA and WHO meetings, and then we'll go into the  
15 discussion for the 2001 -- 2001-2002 recommendations.

16 **DR. GRAHAM:** Good morning. As Keiji said, I would like  
17 to start the influenza session this morning with a  
18 brief summary of this season's influenza activity and  
19 an update of vaccine strain selection process for the  
20 2001-2002 northern hemisphere influenza season.

21 This first slide shows influenza virus detections

1 reported to CDC this season by WHO and National  
2 Respiratory and Enteric Virus Surveillance System  
3 Collaborating Laboratories. The bars -- In the bars,  
4 the green portion represents influenza B viruses; the  
5 yellow is influenza A viruses that have not been  
6 subtyped; influenza A(H3N2) viruses are represented in  
7 red; and influenza A(H1N1) viruses are shown in blue.  
8 The black line is the percent of respiratory virus  
9 specimens tested by these labs that are positive for  
10 influenza. You can see from this chart that the  
11 majority of viruses reported this year, approximately  
12 68 percent, are influenza type A viruses, and of those  
13 influenza A viruses that have been subtyped, the  
14 majority of those viruses have been A(H1N1).  
15 You can also see from this chart that, by looking at  
16 the percent positive, it appears that influenza  
17 activity in the U.S. peaked this year during week four  
18 and is now beginning to decline.  
19 This season has been a relatively mild influenza season  
20 and I think you can see this in this comparison. This  
21 shows the percentage of respiratory specimens testing

1 positive this year, shown in red, versus last year  
2 which is shown in blue. You can see here that the  
3 percent at the peak of the season is lower this year  
4 than it was last year. I believe that's about 25  
5 percent versus 31 percent; and the peak also occurred  
6 later this year, four weeks later than last year.  
7 This is a look at our Sentinel Physician Data. The red  
8 line shows the percentage of patient visits for  
9 influenza-like illness to Sentinel Physicians for this  
10 year and the blue line is the data from last year. And  
11 you can see a similar picture to the virologic data, we  
12 have a lower peak this year, and the peak was also four  
13 weeks later this year than it was last year.  
14 To sort of round out this picture of a milder season,  
15 this is mortality data from the 122 cities Mortality  
16 Reporting System. The bottom smooth line is the  
17 baseline level of activity that we would expect to see  
18 and the upper smooth line is the epidemic threshold.  
19 The jagged line is the actual percentage of death  
20 certificates that list pneumonia or influenza on the  
21 death certificate anywhere. And you can see, so far

1 this year, we have not seen any -- have not seen excess  
2 mortality associated with this season.

3 The WHO collaborating labs in the U.S. submit a subset  
4 of the viruses that they isolate to CDC Strain  
5 Surveillance Laboratory for antigenic characterization.

6 And this year, the majority of the H1N1 viruses that  
7 have been characterized in our lab are similar to A/New  
8 Caledonia/20/99, which is contained in this year's  
9 vaccine. A smaller number of viruses are similar to  
10 the older vaccine strain A/Bayern/07/95, but antibodies  
11 produced against A/New Caledonia produce high titers  
12 that cross-react with the A/Bayern-like strains.

13 We've seen very few influenza A(H3N2) viruses in the  
14 U.S. this year, but all of those that we have seen are  
15 similar to A/Moscow/10/99 and A/Panama/2007/99, which  
16 is contained in this year's vaccine.

17 The influenza B viruses that have been seen in the U.S.  
18 so far this year, the majority of those viruses are now  
19 similar to a virus called B/Sichvan/379/99. This virus  
20 is a drift variant of the B/Beijing/184/93-like viruses  
21 which are contained in the vaccine. While these

1 viruses are antigenically distinguishable, they do  
2 cross-react, so we would expect that there would be  
3 cross-protection with the vaccine strain for this virus  
4 this year.

5 The picture internationally has been very similar to  
6 what we've seen here in the U.S. In the northern  
7 hemisphere influenza A(H1N1) viruses have predominated  
8 overall, although there has been a -- quite a bit of  
9 influenza B activity also identified. Influenza B  
10 viruses have actually predominated in several  
11 countries, including Canada and Portugal, and as of  
12 this time, so far there have been no countries  
13 reporting widespread influenza A(H3N2) activity this  
14 season.

15 FDA's Vaccine and Related Biological Products Advisory  
16 Committee meet this year on January 30th and WHO held  
17 their vaccine strain selection meeting on February 12th  
18 through the 13th this year. Both of these meetings --  
19 it was determined that the A/New Caledonia (H1N1) virus  
20 and the A/Moscow-like (H3N2) virus should be retained  
21 for the northern hemisphere vaccine for the 2001-2002

1 season. Both committees also decided that because the  
2 majority of viruses worldwide are beginning to look  
3 more like the Sichvan virus than the Beijing-like  
4 viruses, that the influenza B component should be  
5 updated to include the B/Sichvan-like virus.

6 FDA's advisory committee will meet again on March 9th,  
7 and at that time they'll finalize the selection of the  
8 actual strains that will be used in the U.S. vaccine.  
9 At this point, I would be happy to take any questions.

10 **DR. MODLIN:** Questions for Dr. Graham?

11 (NO RESPONSE)

12 **DR. GRAHAM:** Okay, thank you.

13 **DR. MODLIN:** Thank you.

14 **DR. FUKUDA:** Dr. Carolyn Bridges will be walking the  
15 Committee through the proposed changes to the 2001  
16 recommendations.

17 **DR. BRIDGES:** Good morning. We have substantially  
18 fewer changes this year than last, and we anticipate  
19 that next year possibly, if there is licensure of the  
20 live-attenuated influenza vaccine that we will have a  
21 fairly major re-write at that time. So for this year,

1 we tried not to do a major re-write because we know  
2 that it may be coming in the near future.  
3 I'll try and walk these through fairly quickly.  
4 Lynette has already talked to you about the vaccine  
5 strains for next year. These will be updated in the  
6 recs that you see once we have the final decision by  
7 FDA next month. There are a number of references that  
8 have been updated. I've also received a lot of  
9 comments about additional references that people would  
10 like to be included. In particular, we'll try and  
11 change out many of the abstracts that are included with  
12 peer-reviewed articles that have now been published.  
13 So there will be additional references incorporated, in  
14 addition to the ones that you currently see in your  
15 draft.  
16 The first thing on page 8 of the recs, you'll notice  
17 that the introduction has been shortened. The  
18 information is still there, but we've now tried to  
19 eliminate some of the redundancies in the draft, and  
20 some of the information that was in the introduction  
21 has now been moved back to the vaccine effectiveness

1 section and sections on groups recommended for --  
2 targeted for influenza vaccine.

3 One of the things that you'll notice in the  
4 introduction is that the number of -- the way that the  
5 high-risk groups or target groups are described has now  
6 been broken down into three groups, as opposed to two  
7 groups that we had last year. One of the reasons for  
8 doing this was because of some of the confusion that  
9 was generated as a result of the vaccine delivery  
10 delays last year and some of the ACIP recommendations  
11 that came out in the MMWR, which were supplements,  
12 which indicated that healthy 50-to-64-year-olds were  
13 somewhat lower priority or should be vaccinated later  
14 in the season last year as opposed to persons who were  
15 65 years of age and older.

16 We do not have good information yet, but we're working  
17 on that, to get better impact information on the 50-to-  
18 64-year-old age group, and we hope to be able to  
19 include that information in hopefully next year's  
20 draft. But for this draft, now the target groups  
21 vaccination are separated into people who are 65 years

1 of age and older, plus people less than 65 years of age  
2 who have a high-risk condition. The second group are  
3 people who have -- or groups of people that have a high  
4 prevalence of chronic medical conditions, and those are  
5 the 50-to-64-year-old persons. Then the third group  
6 then are contacts of high-risk people. So, formerly,  
7 in last year's draft, we had included 50 and older into  
8 the high-risk group and we had put contacts in the  
9 second group. Now they are split into three groups to  
10 try and clarify what the rationale was for adding the  
11 50-to-64-year-old age group. A suggestion has been  
12 made that we include more information about what the  
13 health benefits are for 50- to 64-year-old people.  
14 That was included in last year's draft. We had moved  
15 that more to the vaccine effectiveness section, but we  
16 can move that back into the rationale section for the  
17 50-to-64.

18 Could I have the next overhead? And this is the  
19 suggested language that was proposed to add persons in  
20 this age group without high-risk conditions also  
21 receive benefits in the forum of decreased rates of

1 illness, work absenteeism, and medical visits, and  
2 taking medications.

3 Is there discussion on that particular section?

4 (NO RESPONSE)

5 **DR. MODLIN:** I guess not, Carolyn. Why don't we go on.

6 **DR. BRIDGES:** You can skip those, Lynette.

7 The next set of changes then is on the burden of  
8 disease section on page 10. A suggestion has been made  
9 that we include a table that lists the burden of  
10 hospitalization and death by age group, and we can  
11 easily do this. The suggestion was made because the  
12 text tends to be a little bit long and difficult to get  
13 through. So we can put a table to list the burden in  
14 hospitalization and death by age group rather than just  
15 have it listed in the text.

16 Then on page 12, in the effectiveness of influenza  
17 vaccine, there was a suggestion that we add information  
18 on the number of weeks that it takes for people develop  
19 antibody response after vaccination, and we can  
20 certainly do that.

21 Are there other suggestions in these sections?

1       **DR. ZIMMERMAN:** I question -- I notice here there was a  
2       discussion about whether the whole virion product was  
3       still going to be produced or not. Do we have an  
4       update on that?

5       **DR. BRIDGES:** We were going to be checking with the  
6       vaccine manufacturers. I don't know if any of them  
7       would like to comment on that.

8       **DR. MODLIN:** Why don't we put that off for just a  
9       second. I think we'll come to that when we may ask for  
10      some comments from the manufacturers. We can bring it  
11      up at that time.

12      **UNIDENTIFIED SPEAKER:** When we talk about the health  
13      benefits, it's a very general statement and I guess --  
14      I think it would be helpful to say something  
15      specifically about the decreased use of the antibiotics  
16      in those who receive the vaccine.

17      **DR. SNIDER:** Let me just people again, be sure and  
18      identify yourselves since this is being transcribed.

19      **DR. MODLIN:** Jon?

20      **DR. ABRAMSON:** Yeah, Jon Abramson.

21      I think we need to get out there this issue of

1 influenza-associated encephalopathy. I don't know if  
2 this is the right vehicle. It could go under burden of  
3 disease, but there is a lot of discussion going on  
4 about whether we're seeing kids with that, whether  
5 we're not seeing kids with that, and what do we know  
6 about it. I do think we need to get something out  
7 there, whether it's a separate document or part of this  
8 document. I do think that.

9 **DR. BRIDGES:** I think there have been other cases of  
10 myocarditis and -- with influenza and we could outline  
11 more rare complications of influenza infection and the  
12 burden if that would be helpful.

13 **DR. MODLIN:** Jon, I think we all understand and  
14 recognize, certainly as clinicians, that there's a  
15 number of different non-respiratory complications of  
16 influenza. I think the difficulty is getting our arms  
17 around it or getting a handle on what the rates -- the  
18 risks are. So maybe trying to articulate that in the  
19 statement without being too precise in the absence of  
20 really good data I think is going to be -- would be  
21 appropriate but maybe kind of -- may not be easy to do.

1 I'm sure Carolyn and Keiji are up to it.  
2 Other comments on these changes?

3 (NO RESPONSE)

4 **DR. BRIDGES:** All right. A suggestion was made that we  
5 include a separate cost-effectiveness section.  
6 Formerly what we had done was refer to some economics  
7 of influenza very generally within the effectiveness  
8 section. So for this draft, there is a separate cost-  
9 effectiveness section which is on page 12 and 13 in  
10 your copy. So this more directly addresses economics  
11 of influenza. A suggestion was made that we try and  
12 emphasize more the cost-effectiveness and cost-utility  
13 analyses rather than the cost-benefit analyses. This  
14 would allow for more comparison of vaccination with  
15 other preventive services, and the concern is that  
16 emphasis on cost-benefit analyses implies that one must  
17 have cost-saving for a vaccine to be cost-effective.  
18 And it also perhaps puts vaccinations on a different  
19 plane or it has them have some other kind of threshold  
20 economic value to reach as opposed to other preventive  
21 services. So more emphasis on cost-utility would allow

1 vaccines to be compared with other preventive services  
2 such as treatment of hypertension to prevent stroke,  
3 for instance. So that's the way that the -- what we  
4 had in mind when this section was written.

5 I've received some suggestions that additional  
6 references should be added. There was some concern  
7 about not having more information on cost-saving. I  
8 had a couple of e-mails from Dr. Nichol, and her  
9 concern was mostly for the healthy adult group, that in  
10 some of the cost-effectiveness and cost-utility  
11 analysis, there was very little information on  
12 productivity losses and that this was a major  
13 contributor for vaccine cost-saving in healthy adults.

14 And for that particular population, cost-benefit  
15 analyses may be the most appropriate type of analysis.

16 So I would really like some direction from the group  
17 as to how people think about this issue or if they feel  
18 that this is enough information currently in the draft  
19 about the cost-effectiveness.

20 **DR. MODLIN:** Why don't we take that up now if members  
21 of the Committee or others have comments, particularly

1 about this section. It appears to be a major addition  
2 to the statement. Kristin Nichol is not with us today?  
3 Okay. Any comments, pro or con? David?

4 **DR. JOHNSON:** I was listening to your comments about  
5 the difference between cost-savings and cost-utility,  
6 and I'm not sure, as I read through this the other day,  
7 whether that came across to me, making that distinction  
8 and making arguments for use of the vaccine or in favor  
9 of vaccine use in the absence of cost-savings. So,  
10 perhaps, that could be a bit more explicitly stated in  
11 there.

12 **DR. BRIDGES:** Some type of statement that although the  
13 vaccine may not be cost-saving, it is cost-effective in  
14 terms of producing illness and complications?

15 **DR. JOHNSON:** And then go on to add the things that Dr.  
16 Nichols mentioned to you about the productivity --  
17 worker productivity, particularly for healthy adult  
18 recipients of the vaccine.

19 **DR. BRIDGES:** Okay. We do have some of that in the  
20 second line, "Studies of adults less than 65 years have  
21 shown that vaccination can reduce both direct medical

1 costs and direct costs from work absenteeism." Would  
2 you want us to be  
3 more -- have additional information than that, or is that  
4 sufficient?

5 **DR. JOHNSON:** For me, I think that's one of the  
6 stronger arguments for broader use of the vaccine in  
7 healthy adults. So perhaps if that could be expanded a  
8 little bit.

9 **DR. SNIDER:** Carolyn, Dixie Snider. I just wanted to  
10 pick up on a comment you made because I don't see it  
11 written down.

12 You stated that one reason to put the information in  
13 there about cost-effectiveness to be able to compare it  
14 to other interventions, and you have the data here  
15 about the estimates in the 18- to 64-year-olds, but it  
16 seems to me that it would be logical to say something  
17 about how that compares, because it does compare very  
18 favorably with many other preventive interventions that  
19 are routinely provided.

20 So I wondered if you feel comfortable adding a  
21 statement to that effect?

1       **DR. BRIDGES:** I think some of the difficulty in making  
2 a lot of comparisons among these different economic  
3 studies is they include very -- their methods are  
4 actually quite different and it is very difficult to  
5 compare these without putting in a considerable amount  
6 of text about the nuance difference between the  
7 studies, some of which can -- assumptions that are made  
8 can actually make a quite of bit of difference.

9       If we wanted to actually -- Folks in the Epidemiology  
10 Program Office here at CDC, along with other people in  
11 NIP and NCID, are working on a cost-utility analysis  
12 for high-risk people, and I think may give us a little  
13 bit better data because the cost-utility analysis data  
14 -- currently it's not very strong for influenza. We do  
15 have the Office of Technology Assessment report and we  
16 could certainly add that in there and that would give  
17 us information over age groups. We could possibly  
18 compare it with some of the pneumococcal vaccine  
19 information.

20       **DR. MODLIN:** Carolyn, reading over this last night,  
21 maybe I can -- I'm thinking out loud here, but maybe I

1 can kind of summarize perhaps what several -- a couple  
2 of the members of the Committee are articulating. It  
3 seems to me that the statement already has an awful lot  
4 of information and a lot of data, and the statements  
5 that are in there go about as far as the data will  
6 allow. But it may be that there is a way to highlight  
7 some of these data regarding cost issues, perhaps by  
8 putting them in a table of  
9 some -- for people who read a statement may gravitate  
10 quickly to a table that summarizes this information  
11 rather than -- I shouldn't use the word "burying" it in  
12 the text, but keeping it in a text of a fairly lengthy  
13 statement. I would maybe just encourage you to think  
14 about that and look and see if that might be a feasible  
15 way of getting at the same issue.

16 Other comments?

17 (NO RESPONSE)

18 **DR. MODLIN:** Why don't we go on and finish with the  
19 changes and then I -- maybe we could hold the rest of  
20 the comments until we finish the -- all the changes to  
21 the statement.

1       **DR. BRIDGES:** We've added information. We had formerly  
2       stated that there are racial disparities in terms of  
3       vaccine coverage, and now we have included the  
4       percentages that were differences in vaccine coverage  
5       by race and ethnicity supplied by NIP. So that is now  
6       part -- And everything that we've mentioned now in the  
7       vaccine coverage levels is for the last two years where  
8       there is data. Vaccination rates for people 65 and  
9       older appears to have plateaued. Obviously, we need  
10      the third year to say much, but that's something to  
11      bring to your attention.

12      The other thing that we did was add a paragraph on  
13      vaccine supply, mostly to acknowledge that this  
14      occurred last year, though we really don't -- can't  
15      make predictions about the future, but more as an  
16      acknowledgement that this happened. I'll just say that  
17      there was a typo on page 19 where we had not updated  
18      the information that Tamivir being approved for  
19      prophylaxis, and that will be corrected.

20      But in particular, I would like to get comments about  
21      the paragraph on vaccine supply.

1       **DR. MODLIN:** Maybe this would be an appropriate time  
2 actually to ask the representatives of the  
3 manufacturers to comment, if they would, about what  
4 they can tell us about next year's vaccine supply since  
5 it is an issue and since it is -- We have obviously  
6 added some new language to the statement that addresses  
7 this issue.

8       Maybe I could ask representatives of at least two of  
9 the major manufacturers, maybe starting with Wyeth. Is  
10 Dr. Paradiso -- if you would be willing to show your  
11 hand.

12       **DR. PARADISO:** Thank you, John. Peter Paradiso from  
13 Wyeth.

14       We have begun production of FluShield and have begun  
15 making the bulk concentrates for this next season. So  
16 manufacturing is ongoing. Obviously, we're waiting on  
17 finalized strain selection and you can no longer -- or  
18 it's no longer safe to predict what will happen as time  
19 goes on, but the current projections are that  
20 manufacturing this year and supply will be similar to  
21 the volumes of last season. And we don't, at this

1 point, anticipate any issues.

2 **DR. MODLIN:** Peter, do you want to say anything further  
3 about timing of the -- of availability of supply vis-a-  
4 vis the problems that we've had this past season?

5 **DR. PARADISO:** As I said, the two strains we know, the  
6 two A strains, and so we have experience with and feel  
7 comfortable with those. There's going to be a new  
8 strain. So at this point, we don't anticipate any  
9 coming issues, but we'll know more in the next couple  
10 of months, obviously.

11 **DR. MODLIN:** Thank you. Phil Hosbach?

12 **DR. HOSBACH:** Aventis is going to going to tip their  
13 hand a little bit and turn over a few more cards. I  
14 just wanted to let you know that our plans for this  
15 year are producing 38 million doses. However, we can  
16 produce an additional 17 million doses contingent upon  
17 three very important factors: one, to ensure that  
18 we're going to have that early strain identification on  
19 March 9th, which gives us about four weeks additional  
20 production time; also ensuring that we have a influenza  
21 season, that is, an immunization season that extends

1 minimally through the end of November, and I would  
2 strongly encourage this Committee to adopt such  
3 language; and then lastly, we also are working very  
4 closely with the FDA and it will take some work to  
5 expand our capacity, and that includes adding  
6 additional incubators. If all those three things come  
7 together in a timely fashion, we'll be able to produce  
8 upwards of 55 million doses and get it out to the  
9 market by the end of November.

10 **DR. MODLIN:** Thanks, Phil. Maybe this -- I should ask.

11 I don't believe there are any representatives from  
12 Medeva here, but I should, for the sake of  
13 completeness, ask if anyone is representing Medeva, if  
14 they would like to make a comment.

15 **DR. FUKUDA:** John, I spoke with the company yesterday,  
16 and they said they would feel comfortable letting the  
17 Committee know that they plan on making -- they project  
18 on making a little bit less than they made last year,  
19 to around the same amount, again predicated on how the  
20 strains grow and process and, again, predicated on how  
21 long they expect to sell vaccine for the season and

1 what the demand is for this coming season.

2 **DR. MODLIN:** Thanks, Keiji. I probably should, at this  
3 point, ask if members of the Committee or others have  
4 comments or questions for either Dr. Paradiso or Dr.  
5 Hosbach. Bonnie?

6 **DR. WORD:** Actually, my question is addressed to both  
7 of them, in that -- you know, last season we didn't  
8 anticipate having difficulties -- didn't have  
9 anticipate having difficulties with production, and I  
10 don't know if you -- from a realistic perspective, are  
11 we going to go back? Is there any way that you can  
12 foresee trying to prevent the situation that happened  
13 this previous year? I mean, right now, is anyone  
14 having any difficulties growing or culturing anything  
15 right now in terms of production that you foresee that  
16 you could avoid so that we don't end up in that type of  
17 crisis mode situation as we did last year?

18 **DR. MODLIN:** Dr. Rubin?

19 **DR. RUBIN:** I'm Fred Rubin, Aventis Pasteur.

20 I think we're fortunate this year in that  
21 the -- that the selection of strains has been as announced,

1 and that puts everybody at a much more favorable  
2 position to producing vaccine. The  
3 B's -- There have been some strains provided to the  
4 manufacturers that might reflect what the final  
5 selection will be. So we only have one variable, one  
6 real challenge this year, and that's with the B. The  
7 problems that we're faced with, the new A strain last  
8 year, have been dealt with. So I think it looks a lot  
9 better for this year.

10 **DR. MODLIN:** Dr. Smith?

11 **DR. SMITH:** I guess we don't know from Wyeth yet the  
12 volume of doses that will be produced, but I'm just  
13 wondering if there's a sense of the overall supply this  
14 year.

15 **DR. PARADISO:** Last year our manufacturing supply was  
16 around 24 million doses. So our target this year will  
17 be in that same range. I agree completely with the  
18 last speaker. The ability to identify strains early,  
19 having experience with the two A strains already,  
20 reduces the risk considerably.

21 **DR. MODLIN:** Thanks, Peter. Dr. France?

1       **DR. FRANCE:** I think that also above and beyond the  
2       issue of slow growth, there was an issue of good  
3       manufacturing practices, I think, with some slow  
4       release from certain lots. I think that was  
5       specifically with Wyeth. So I'm curious just to see if  
6       those issues have been resolved from the viewpoint of -  
7       -

8       **DR. MODLIN:** Eric, we may actually -- after the break,  
9       we have a session scheduled to discuss the delay in a  
10      little bit more detail. So maybe this will be an  
11      appropriate to bring that up.  
12      Chuck?

13      **DR. HELMS:** The structure of the paragraph says we had  
14      a problem, we elected to do some priority changing. It  
15      seems to me, if it were possible and we were capable of  
16      giving a general statement about the efficacy of that  
17      intervention, not necessarily in the -- we will not  
18      know the incidence of flu, but we will be able to say  
19      how many people got the vaccine? Are we in a position  
20      of adding a general statement about our ability to  
21      respond?

1       **DR. BRIDGES:** We just received last week some data from  
2       the FoodNet survey, which is run by NCID. It's  
3       conducted monthly, and that data is from September  
4       through December. So we just got it and we're just  
5       starting to look at that to see what the distribution  
6       of vaccine was and by age group. We may have a little  
7       bit of data to put in there. Some of the other surveys  
8       that are done may take a little bit longer, but I think  
9       they're going to discuss that some more in the  
10      afternoon. If we have some data to show how that  
11      recommendation was followed, then we may be able to add  
12      it. The publication date for this ACIP is -- sorry,  
13      April 20th. So we're on a fairly short time frame.

14      **DR. MODLIN:** Other questions or comments? Rick?

15      **DR. ZIMMERMAN:** Rick Zimmerman.

16      There been had the question raised in the document  
17      about what was the different ways the different types  
18      of vaccine -- the purified surface antigen versus the  
19      split virion versus the whole, and it's just that this  
20      would be a good time to ask that question.

21      **DR. BRIDGES:** And if the manufacturers would want to

1 answer split versus whole versus what's going to be  
2 produced.

3 **DR. MODLIN:** I believe Aventis is the only manufacturer  
4 of whole virus, is that right?

5 **DR. HOSBACH:** Yeah. We were the only manufacturer  
6 making whole virus, and last year we took the decision  
7 to discontinue manufacturing that product, specifically  
8 to provide more doses. It really chews up a lot of our  
9 capacity to make whole virion, and we don't have that  
10 many people purchasing it anymore. So we elected to go  
11 fully to the split.

12 **DR. PARADISO:** We make only split cell.

13 **DR. MODLIN:** All right. Other questions? Yes, Marty?

14 **DR. MYERS:** I would wonder if it would be advantageous  
15 to have a sentence in here that encourages provider  
16 groups to consider planning for administration of  
17 vaccine later in the season. We'll see later this  
18 morning, there's an issue of late-in-the-season  
19 administration, but that doesn't appear to occur.

20 **DR. MODLIN:** I think there is such a statement in  
21 there, if I'm not -- from reading over it last night,

1 Marty.

2 **DR. MYERS:** But I meant under the supply, because I  
3 think the vulnerability is, again, delay in vaccine  
4 when it -- when it occurs. So there isn't, at this  
5 point, obviously an effective means of distributing  
6 vaccine later in the season than we're accustomed to.

7 **DR. MODLIN:** Perhaps not in this section, but is there  
8 not in the statement a -- some guidance as to what to  
9 do in case there is a bit of delay in terms of planning  
10 for mass immunization clinics and so on?

11 **DR. BRIDGES:** The statement currently, as we had  
12 modified it last year, recommends that mass campaigns  
13 planned for mid-October or later. Actually, Lynette,  
14 if you could go to this -- changes for -- There's also  
15 been a suggestion by Dr. Orenstein to add additional  
16 information. This was published in the October MMWR  
17 about the vaccine supply, to add information that, in  
18 fact, the influenza season often does not start until  
19 January or later, as another means to encourage people  
20 to continue vaccinating after October and November.

21 **DR. SMITH:** Yeah, I agree with that statement, because

1 we have endless calls about providers even not wanting  
2 to give it in November because somehow they thought  
3 that was too late. So I think adding that statement  
4 that it's fine to continue vaccinating would be very  
5 helpful.

6 **DR. MODLIN:** Dr. Rubin?

7 **DR. RUBIN:** On page 19 -- Fred Rubin, Aventis Pasteur.  
8 On page 19, about optimal timing for vaccination, I  
9 think the first sentence reads optimal time to  
10 vaccinate through mid-November. I just wonder if you  
11 couldn't make it easier and say "through November." I  
12 don't know why

13 mid-November -- It seems to me that gives people an  
14 opportunity to stop vaccinating in the middle of  
15 November. Whereas, if you say through the month of  
16 November, I don't think you penalize anybody and I  
17 think you make it hard for people to wiggle out of  
18 giving shots through the month.

19 **DR. BRIDGES:** I think that the mid-November was used so  
20 that -- because there are occasional years where we'll  
21 see a lot of influenza activity starting at the

1 beginning of December. So that will give people the  
2 two weeks to develop antibody before the beginning of  
3 December. But as, you know, this statement indicates,  
4 the majority of time you're going to see most of the  
5 influenza activity in mid or late December or later.

6 **DR. MODLIN:** Larry?

7 **DR. PICKERING:** Yes. Larry Pickering.

8 Two points. One is, this is an extremely important  
9 teaching point, and I would suggest that it be put into  
10 a format of a table so that it, as Dr. Modlin said,  
11 isn't lost in the text.

12 The second point we have is that, in pediatrics, we're  
13 limited to two vaccines since the Medeva product is not  
14 approved or recommended for children less than four.  
15 Do we know if that is going to change with the upcoming  
16 season? Will data be presented to see if it can be  
17 utilized in the younger age group?

18 **DR. MODLIN:** Maybe we should ask Karen if she has any  
19 new information on that.

20 **DR. MIDTHUN:** I can't comment on that.

21 **DR. MODLIN:** Guess not. Turn on Dr. Midthun's mic.

1           There we go.

2           **DR. MIDTHUN:** I can't comment on that.

3           **DR. MODLIN:** There's your answer.

4           **DR. BRIDGES:** Actually, if you go to the next one on  
5           that, we did add that information to this year's  
6           recommendation. So it explicitly talks about the  
7           approved age group for the different manufacturers of  
8           influenza vaccine. So now it's in the rec. So it  
9           states it very clearly.

10          The other thing, if we're done with that part of the  
11          discussion, is we added information from Greg Poland's  
12          article in JAMA about needle length, about the fact  
13          that if you use a needle length of less than one inch  
14          in adults or older adolescents, that you may not reach  
15          muscle tissue. So that was just added there to  
16          clarify.

17          **DR. MODLIN:** Myron?

18          **DR. LEVIN:** Levin. I gather you've gone beyond page  
19          17. Could I make some comments about pregnancy?

20          **DR. BRIDGES:** Sure.

21          **DR. LEVIN:** First is that we don't have any data on

1 coverage during pregnancy, or none is mentioned. I  
2 don't know if it's available, but I think it's an area  
3 where they can fall through the cracks. It would be  
4 nice if we could somehow indicate that obstetricians  
5 may take some responsibility for this during the  
6 appropriate time of year.

7 You might mention that the neonatal infection rate,  
8 which you pointed out is very high, might be affected  
9 by immunizing the mother. And maybe we could ask Dr.  
10 Glezen to give us some information on that.

11 Finally, occasionally, the issue of thimerosal comes  
12 up. I've heard it come up here in the past, and maybe  
13 you want to make a comment with respect to that, when  
14 you talk about pregnancy and immunization.

15 **DR. MODLIN:** Natalie?

16 **DR. SMITH:** Just a quick comment.

17 Back on page 19 under "General Population," there's a  
18 sentence that starts: "Physicians should administer  
19 influenza vaccine to any person who wishes to reduce  
20 likelihood of becoming ill with influenza." Given this  
21 past season, I would be more comfortable if there was a

1 little caveat or phrase in there that said "depending  
2 on vaccine availability" or something along -- besides  
3 -- It's just that word "should" I'm a little bit  
4 concerned about. "If vaccine is available" or  
5 something along those lines. Because, ideally, I think  
6 physicians would like to do that, given this last  
7 season.

8 **DR. MODLIN:** Carolyn, just in terms of being helpful,  
9 going back to the a couple of the comments that Dr.  
10 Levin just made, we -- there was a separate statement  
11 on thimerosal in influenza vaccine that was an update  
12 in the MMWR last summer, I believe, and there's no  
13 reason why that -- It was a very brief paragraph, and  
14 there's no reason why that couldn't be incorporated  
15 into the statement under the safety issues.

16 With respect to the issue of passive protection of  
17 newborns, we did discuss that here with -- when Paul  
18 Glezen was present, and my recollection of the summary  
19 of that discussion was that we just didn't have enough  
20 information to say anything with any degree of  
21 specificity. It was Paul's feeling that if there is

1 some protection, it's likely to be of relatively short  
2 duration in the first couple of months of life, but  
3 even that information was probably not sufficient to  
4 include in the statement.

5 **DR. LEVIN:** That is the highest risk period, as you  
6 point out.

7 **DR. MODLIN:** Beg your pardon?

8 **DR. LEVIN:** That is the highest risk period, as you  
9 point out. Yeah, I know it's not definitive, but it's  
10 just that it is a viable idea and might be worth  
11 mentioning.

12 **DR. MODLIN:** Walt?

13 **DR. ORENSTEIN:** If I could go back to this supply issue  
14 and the timing issue.

15 The concern I have is even with supply as it would  
16 normally be given, the adding of the 50-to-64-year-old  
17 recommendation, we still could run short. I'm  
18 intrigued with the Aventis Pasteur concern about adding  
19 17 million doses, and I'm wondering if we can -- I know  
20 Carolyn was a little concerned about moving that mid-  
21 November date, but I'm wondering if we take Larry's

1 suggestion and put that table in and then add some  
2 wording that this would meet with concerns Aventis has  
3 raised, however, vaccination is still likely to be  
4 beneficial if vaccine campaigns are conducted into late  
5 November and beyond but to really try and focus on that  
6 issue, because I think 17 million more doses, I think,  
7 could be very, very helpful, especially given the new  
8 recommendations.

9 **DR. MODLIN:** Did you get that, Carolyn?

10 **DR. BRIDGES:** Uh-huh (affirmative).

11 **DR. MODLIN:** Okay. Rick?

12 **DR. ZIMMERMAN:** Additional support for that idea --  
13 Rick Zimmerman -- could come from -- There is a study  
14 we're conducting. In about three-quarters of the  
15 elderly adults who are vaccinated, they're vaccinated  
16 at their primary care physicians offices. This is  
17 going to vary by region and by study, but it was a  
18 sizable percentage. Yet, adults -- elderly adults  
19 often only make three or four visits to their primary  
20 care provider in a year. So we have a six-week window  
21 and trying to get three-quarters of your vaccinees --

1 your potential vaccinees in your office in six weeks is  
2 a pragmatic challenge. If you even give another week  
3 or two, that gives you more time.

4 **DR. BRIDGES:** The recommendation also states that if  
5 high-risk people are seen in September for a regular  
6 visit, you can go ahead and start vaccinating then as  
7 well. So September, October, and mid-November.

8 **DR. MODLIN:** Rick?

9 **DR. ZIMMERMAN:** I also want to comment on page 15. The  
10 language about -- It's the very bottom of page 15:  
11 "Although healthy workers are at low risk for illness,  
12 adults" -- and then it goes on to describe the  
13 rationale that was used and it's crossed out for adults  
14 50 to 64. I realize it's covered in the cost-  
15 effectiveness section, but if you're discussing the  
16 rationale and you're cutting out the issue of cross-  
17 effectiveness, decreased absenteeism, decreased office  
18 visits, that is part of the rationale. And I realize  
19 you mention it one place, but I think it still deserves  
20 to be summarized in this section because it's a  
21 substantial portion of the rationale, at least it was

1 for the AAFP in our decisions.

2 **DR. BRIDGES:** We did plan on including that, based on  
3 other comments as well -- similar language.

4 **DR. MODLIN:** Carolyn, why don't we move on? Do we have  
5 some more changes that you wanted to go over?

6 **DR. BRIDGES:** I'm sorry?

7 **DR. MODLIN:** Do you have some more changes that you  
8 wanted to go over?

9 **DR. BRIDGES:** Very quickly while I'm standing here.  
10 Just to let everybody know, the antiviral medication  
11 section has been updated. Also Oseltamivir is now  
12 approved for prophylaxis for persons 13 years of age  
13 and older and for treatment in persons one year of age  
14 and older. Zanamivir is now approved for treatment of  
15 persons age seven years and older. Again, the  
16 references will be updated in this section.  
17 The last thing I wanted to point out were the  
18 differences in Table 1. The primary difference is now  
19 that we -- Parkedale Pharmaceuticals, as you all  
20 probably know, is not going to produce this year. So  
21 we're now down to three manufacturers. If you could

1 just put up Table 2, the next table, this has just been  
2 updated, again to reflect the new indications in terms  
3 of ages for use of the different antivirals and use for  
4 prophylaxis.

5 And that's it.

6 **DR. MODLIN:** Any further comments, particularly about  
7 the section on antivirals or any comments regarding the  
8 entire statement? Eric France?

9 **DR. FRANCE:** This is Dr. France.

10 You described a new table on page 10, which is putting  
11 all your hospitalization rates for different age  
12 groups, and I notice on page 22, you have a paragraph  
13 that's referring to what the risks are for  
14 hospitalization again with relation to Guillain-Barre  
15 risk. And you may -- instead of having that sort of  
16 wordy big paragraph on hospitalization rates for  
17 different groups, you might just reference back to that  
18 new table you've put in on page 10.

19 **DR. MODLIN:** Myron?

20 **DR. LEVIN:** Levin. We're doing general comments now?

21 **DR. MODLIN:** Go ahead, please.

1       **DR. LEVIN:** On page 18 where you talk about HIV-  
2       infected people, you talk about the effects on CD4 and  
3       viral load, and several comments.  
4       First of all, there is quite a bit of information from  
5       other vaccines as well that don't affect CD4 and viral  
6       load with those vaccines, and it would -- it might  
7       people more comfortable giving influenza vaccine if we  
8       mentioned -- you could do that in one or two sentences.  
9       Secondly, it should be mentioned that there are two  
10      situations where you should be careful when you give  
11      the flu vaccine to HIV-infected people. If you are  
12      starting a new medication and you want to see the  
13      effect on viral load, you may not see that if you give  
14      the vaccine at the time you're measuring -- you're  
15      making your measurements or shortly before you make  
16      your measurements. So people have to be warned of  
17      that.  
18      With respect to the Guillain-Barre Syndrome that you  
19      mentioned on 22 and 23, I found that a little -- I  
20      mean, you're trying to be careful and not tell people  
21      exactly what to do, but the way it's worded, it would

1 very hard for anybody to give influenza vaccine to  
2 someone who has had Guillain-Barre Syndrome following  
3 influenza vaccine or prior Guillain-Barre Syndrome. So  
4 I think we ought to just say it's -- well, we ought to  
5 talk about what we should say, but it doesn't help the  
6 reader, I think, in this particular paragraph.

7 **DR. MODLIN:** Myron, you're raising an issue that we  
8 probably spent an hour on when we were in a Committee  
9 discussion about a year ago, and I'm sure that the text  
10 and the language reflects just that. I guess the  
11 question is, how strongly do you feel about opening up  
12 a potential can of worms here again, or do you feel  
13 like --

14 **DR. LEVIN:** Well, I don't have any personal experience  
15 or strong feelings about it. I mean, I have personal  
16 feelings, but I don't have any data. Maybe -- I don't  
17 know if you need to talk about it at this open session  
18 or is there some way of just making the language a  
19 little --

20 **DR. MODLIN:** We did discuss the Guillain-Barre section  
21 and the language at some length at last February's

1 meeting, and this language seemed to be that that  
2 represented the best consensus and best compromise.

3 **DR. LEVIN:** I can offer one help.

4 **DR. MODLIN:** Yes.

5 **DR. LEVIN:** Elsewhere you say, in similar situations,  
6 that there are alternate ways of dealing with this and  
7 you mentioned the drugs. You don't mention that here,  
8 and you could.

9 **DR. MODLIN:** That's a good point.

10 **DR. LEVIN:** So, you know, during influenza season, if  
11 you didn't want to give vaccine to these high-risk  
12 people, you could manage it prophylactically.

13 **DR. MODLIN:** Well, that's an excellent suggestion.  
14 Are there other comments -- Yeah, it is an excellent  
15 suggestion? Jon?

16 **DR. ABRAMSON:** Jon Abramson.

17 I think we need to at least let you be aware that we  
18 will consider, to be more encouraging, whether there is  
19 cold-adapted influenza vaccine or just trivalent  
20 inactivated vaccine for children for the vaccine and  
21 ask you to consider that, whether you also want to be

1 more encouraging. That is different, again, than a  
2 recommendation, saying everybody under three or under  
3 five should get it. But I think from the sense of the  
4 Committee that I have -- and Peggy perhaps can chime in  
5 on this, I think at the very least, we all have got to  
6 be more encouraging. It's hard to stomach the data or  
7 hospitalizations and then recommending it for 50 to 64  
8 and not at least do that, regardless of where we are  
9 with cold-adapted.

10 **DR. MODLIN:** Jon, are you encouraging us to actually  
11 change the language in the current statement now to be  
12 a bit more encouraging for use in children?

13 **DR. ABRAMSON:** Right.

14 **DR. MODLIN:** Could you maybe work with Carolyn and  
15 Keiji to suggest some language to that effect? I'm not  
16 certain whether the Committee is going to -- Could I --  
17 How do others feel about this at this point?

18 Obviously, we have plans over the next six to 18 months  
19 to examine the issue of influenza immunization in the  
20 pediatric age group in great detail. I don't think we  
21 had planned to make major changes in the statement this

1 year with this statement but clearly will be examining  
2 -- I guess a quick question, how others feel about  
3 moving forward now.

4 Dr. Neuzil?

5 **DR. NEUZIL:** Kathy Neuzil.

6 Just a comment, I think that this is a big issue and I  
7 know there are -- there's a working group looking into  
8 it, but I do think if we put hospitalization rates into  
9 a table, it will become quite obvious that the  
10 hospitalization rates in young children are as high in  
11 these other groups, groups for whom we do recommend  
12 vaccine. So switching our format, in and of itself, I  
13 think is likely to highlight that discrepancy.

14 **DR. MODLIN:** That's a good point. How do others feel  
15 about this? Maybe if that's the case -- Dr. Fetson?

16 **DR. FETSON:** David Fetson, Aventis Pasteur.

17 I think it would probably be a good idea for the  
18 Committee to sort of stick its camel's nose under the  
19 edge of the tent on this childhood immunization issue  
20 because in about two months or less, the *New England*  
21 *Journal of Medicine* will publish a paper by Thomas

1 Rickert and colleagues that will show that the Japanese  
2 program for vaccinating school children over a period  
3 of 20 years prevented something on the order of 37,000  
4 to 49,000 deaths each year, and that when they stopped  
5 this program, the seasonal mortality in the wintertime  
6 returned.

7 So this is going to change, I think, in a major way our  
8 conception about the community-wide impact of influenza  
9 vaccination of children, and it really is a  
10 verification using six billion person years of  
11 observation of what Arnold Monto [phonetic] showed 30  
12 years ago in Tecumseh, Michigan, that you can reduce  
13 adult influenza by vaccinating school children.

14 **DR. MODLIN:** Keiji, did you want to comment on this  
15 issue?

16 **DR. FUKUDA:** Actually, not. I had a question. This is  
17 gigantic issue.

18 I think that the rationale for vaccinating children,  
19 again is something that the Committee and the working  
20 group has discussed for a couple of years, and I think  
21 that, again, the -- the general philosophy of ACIP

1 guidelines has been to reduce mortality in that group  
2 of people who has been vaccinated. There has been a  
3 lot of discussion of whether there are sufficient data  
4 to indicate that if you vaccinate, say, kids that you  
5 will induce some sort of herd immunity, and Dr.  
6 Rickert's analysis has been anticipated. We know that  
7 it is coming out and stuff, so it will be good to see  
8 that analysis in print. But I think that is a very big  
9 paradigm shift. That's a very big change in thinking  
10 about vaccine. In part, that's why Paul Glezen has  
11 been doing that study in Texas, to try to test that  
12 hypothesis. I think that before the Committee really  
13 tries to make any major changes in that area, I would  
14 suggest that a lot of these data should be presented  
15 and looked at in depth. But that's my comment for  
16 that.

17 The question that I had for the Committee was just  
18 going back to some of the previous discussion. I'm a  
19 little bit unclear about where the Committee stands on  
20 the idea of expanding the season or changing the  
21 language. Again, the way that this has been presented,

1 I think, in the past is that there is a relatively  
2 optimal time to vaccinate high-risk people. And then  
3 after that period, that for those high-risk people who  
4 remain vaccinated, it makes all the sense in the world  
5 to continue to get vaccinated. The epidemiologic data,  
6 the risk data for individuals all indicate that that's  
7 a good thing to do.

8 The question of whether to shift the recommended  
9 vaccine season by another couple of weeks is, again, a  
10 little bit of a change in that. And I'm a little bit  
11 unclear of how the Committee is leaning on this issue.

12 **DR. MODLIN:** Keiji, if I understand, it was Rick  
13 Zimmerman who had made the suggestion and it was a  
14 logistical, practical issue of having a practitioner  
15 who deals with a large number of elderly, actually  
16 being able to get those individuals into his or her  
17 office and to immunize them in time.

18 I guess I would throw the question back to Rick. Do  
19 you think that having a recommendation for an optimum  
20 time for immunization with the -- obviously, throughout  
21 the entire statement, we encourage immunization well

1 past November for those who are -- who are at high risk  
2 and remain unimmunized? Rick, how do you -- with the  
3 stated rationale that it's -- we may do a slightly  
4 better job of protecting those individuals if we  
5 immunize them by the middle of November, assuming that  
6 influenza season can begin as early as the first of  
7 December.

8 **DR. ZIMMERMAN:** Rick Zimmerman.

9 I think the question about when the influenza seasons  
10 begin -- I think there have been some  
11 data -- there are a number of years that they've peaked in  
12 December. And I guess the question is, when is that  
13 peak in December? My guess, just looking at the  
14 Thanksgiving vacation, it's probably -- won't be much  
15 vaccination occurring that -- you know, after that  
16 time, and are we -- is it really that the peak is  
17 occurring mid-December, late December, or early  
18 December? Because that would make a difference. You  
19 know, if there was a number of seasons that the peak  
20 was the first week of December, then I think your  
21 optimal time -- I mean, that's pretty obvious -- would

1 be through mid-November. If the peak is usually  
2 occurring mid to late December, that changes things.  
3 So I guess the question -- the science question is,  
4 what's the epidemiology of those seasons that occur in  
5 December? When do they peak? So that would be my  
6 first question.

7 Secondly, I think you can use different wording to -- I  
8 think the wording currently in here doesn't give enough  
9 strength to expanding. I think that it could be  
10 expanded and you can use "optimal" and "still  
11 possible." You could use other ways of wording to get  
12 around the wording issue to encourage expanded use. I  
13 come back to, what's the basic epidemiology?

14 **DR. BRIDGES:** I think say even though the peak  
15 influenza activity may not occur until late December  
16 often, you know, we build up to that peak. And in some  
17 communities, there may be substantial influenza  
18 activity early in December. So even though the  
19 national peak may not be till late December, there are  
20 some communities who may be hit with quite a bit of  
21 influenza in early December.

1       **DR. MODLIN:** Just taking a look at the curves that Dr.  
2       Graham showed us at the very beginning, there certainly  
3       was a substantial amount of activity in November and  
4       December so that I'm sure there are a number of high-  
5       risk people that are being infected that early.

6       So I would encourage us to continue with the current  
7       language, but there may be ways -- if you have  
8       suggestions -- Rick, if you think it might be modified  
9       to suit things along the line as you suggested, why  
10      don't you speak with Keiji and Carolyn about it, if  
11      that's okay?

12      Are there any other questions about this specific  
13      issue, about the seasonality? We've got the unresolved  
14      issue of encouraging more use in the pediatric age  
15      group, recognizing that this is going to be a major  
16      focus for our working group going forward. I think in  
17      order to move things along, I'm going to suggest that,  
18      again, you might work with Keiji and Carolyn to suggest  
19      some language that would nudge us in that direction,  
20      but I think all of us would be, for reasons already  
21      stated, reluctant to make a major -- we're just not in

1 the position now to make a major shift. So the -- I  
2 think we can do that and still achieve what we all want  
3 to achieve.

4 Any other questions, comments about the statement?  
5 Myron?

6 **DR. LEVIN:** Yeah. Myron Levin.

7 On page 25, you talk about RSV confusing the -- some of  
8 the epidemiology that you're trying to derive for  
9 influenza. I think it might be worth mentioning that  
10 RSV actually may logistically make the disease worse  
11 and that when you see the two together, it not only  
12 complicates the interpretation of the numbers but also  
13 might make the disease worse. At least I believe  
14 that's the case.

15 **DR. BRIDGES:** I'm sorry. So you're talking about co-  
16 infection?

17 **DR. LEVIN:** Co-infection. You say it's hard sometimes  
18 to figure out which disease we're dealing with.

19 **DR. MODLIN:** I think the problem here is an  
20 epidemiological one in terms of trying to assess the  
21 impact of influenza during -- when RSV season overlaps

1 --

2 **DR. LEVIN:** I understand. I'm just saying, where the  
3 two come together, it actually may be worse than when  
4 they don't.

5 Secondly, page 26 and 27, the laboratory diagnosis  
6 section, I think it might be worth putting more words  
7 in there to use it as a chance to teach people some  
8 things. For example, I think we should say somewhere  
9 that the specificity and sensitivity vary greatly by  
10 laboratory and by the test, you intimate by the test.  
11 But actually, I believe that in any region that the  
12 health care providers ought to have some idea as to how  
13 good their laboratory is with the test they're using at  
14 the time, because I see it very -- a great deal. Let  
15 me just find that page.

16 **DR. BRIDGES:** There was a suggestion made that -- by  
17 someone else also that we include what the sensitivity  
18 and specificity is of these rapid-antigen tests  
19 compared to culture. Would that be --

20 **DR. LEVIN:** That would be. Although the point I was  
21 trying to make is that even though there are published

1 on them, they do vary a great deal from year to year.  
2 You know, somebody publishes something three years  
3 before and a test goes on line, but then you find that  
4 when the virus changes, the sensitivity isn't as great.

5 I see that all the time. Even though it's supposed to  
6 be 80 percent sensitive, it's only 60 percent  
7 sensitive.

8 It's also worth mentioning that some of the tests don't  
9 use -- are not licensed for all specimens that come in.

10 Some are for swabs only. Some use nasal wash in  
11 children, but some do not. If you do create a table,  
12 it may be worth adding that to the table. Some tests  
13 actually are, frankly, bad. I don't know if you want  
14 to mention that. That is formatting of certain ones.

15 **DR. MODLIN:** I think these are excellent suggestions.

16 **DR. BRIDGES:** Okay. Does everyone else agree? I hear  
17 you mention table. You're suggesting we do a table of  
18 the different kinds of laboratory diagnosis of  
19 influenza? Is that what I was --

20 **DR. LEVIN:** Yeah. I think a table designed properly  
21 would help.

1 On page 35, your first paragraph talking about  
2 Zanamivir, and it mentions the problems that it may  
3 have in some people who have bronchospastic diseases.  
4 I found that -- again, a situation where we kind of  
5 told people all the problems and then say, if you want  
6 to go ahead -- It seemed to me like we were on both  
7 sides of the --

8 **DR. BRIDGES:** First of all, we don't have any rate  
9 information. I don't know if there's any -- Karen, I  
10 don't know if you have any other information about  
11 that.

12 **DR. MIDTHUN:** I'm sorry. That's under the purview of  
13 Center for Drugs. I'm sorry, but I really can't  
14 comment materially on that.

15 **DR. BRIDGES:** So the problem is we really have no rate  
16 information, you know, what is the risk, and that's why  
17 it's written the way it is. We can't be a lot more  
18 specific about rates.

19 **DR. LEVIN:** Okay. You mention the drug interactions of  
20 some of these drugs with -- with other vaccine, some of  
21 these drugs with other drugs. Is there any information

1 on interaction of any of them with the Peak 450 system  
2 in the liver? Because that's what is of interest HIV  
3 treating people -- people who treat HIV.

4 **DR. BRIDGES:** I think it's in the package inserts and  
5 we do have a section on -- among persons with liver  
6 disease, and if you think that would be helpful, we  
7 could use that.

8 **DR. LEVIN:** It's not just liver disease. If this up-  
9 regulated or down-regulated certain of the enzymes,  
10 then you would -- it would affect how you treat HIV,  
11 and the information may not be available, but if it  
12 were available, I think it would be worth adding there.  
13 And finally, in the table where you give -- which table  
14 -- it gives the formulations, I think Tamiflu now is in  
15 a suspension formulation, and that isn't mentioned. I  
16 can find the table. It's on page 53.

17 **DR. MODLIN:** Lucy?

18 **DR. TOMPKINS:** Lucy Tompkins.

19 I just wanted to affirm a statement Dr. Levin  
20 mentioned, which is I think a statement in there about  
21 laboratory diagnosis, that the clinician being aware of

1 what the predicted value of the test that's being used  
2 in the laboratory where they are having that test done  
3 is very important. You need to know how your own  
4 laboratory performs, and as you said, these published  
5 studies really don't tell you that.

6 **DR. MODLIN:** The point they're both making also needs  
7 to be underscored because this document is used as an  
8 educational document. People use this extensively to  
9 find out more information. So I think since we're  
10 going the route of having more information about  
11 antivirals, more information about the tests makes an  
12 awful lot of sense in this setting.

13 Jon?

14 **DR. ABRAMSON:** Jon Abramson.

15 I think there's one other point, and that is, there is  
16 at least one and, I think, now two tests that are  
17 available for use in the physician's office that do not  
18 have to be under clear regulations. So they have been  
19 approved for their use and there's no feeling that you  
20 get from there about whether the Committee thinks  
21 that's good, bad, recommends its use. I mean, you can

1 see the potential advantage of doing it in your office.

2 You would be able to start antiviral therapy if you  
3 felt like that was appropriate.

4 **DR. MODLIN:** Phil?

5 **DR. HOSBACH:** I hate to re-raise the issue again, but  
6 just about the immunization season, just to give you a  
7 little bit about our experience as a manufacturer.  
8 Essentially, we get about 3,000 phone calls per day  
9 during the second half of September and throughout  
10 October. With the November 15th end of the optimal  
11 season, we actually get a shutdown in our phone calls  
12 by November 1st. So, really, to provide us with  
13 incentive to continue to manufacture, we just see  
14 orders stop and phone calls stop in a normal season  
15 when there's not a delay or a shortage of some sort.  
16 It just ends November 1st. And I think by taking this  
17 out to the end of November, perhaps you are going to be  
18 able to immunize people throughout mid-November. So  
19 that's just a comment from our experience.

20 **DR. MODLIN:** Thanks, Bill. Any other comments? Well,  
21 we do need to bring some closure to this.

1           Unfortunately, the flu statement is -- must be  
2           published in April so that we don't have the luxury of  
3           being able to see yet another draft that includes all  
4           of the comments and suggestions that we've made, and I  
5           think we have to take it as a bit of an article of  
6           faith, that the Flu Branch will accurately and  
7           thoroughly revise this statement to reflect the  
8           suggestions and the comments of the Committee. I think  
9           the only perhaps, kind of sticky issues remaining might  
10          be the wording with respect to pediatric use and  
11          perhaps some change in emphasis regarding seasonality.  
12          Is the Committee comfortable that Keiji and Carolyn can  
13          work these things out with perhaps some input from Dr.  
14          Pickering and Dr. Abramson and others regarding the  
15          pediatric wording? Dr. Neuzil may participate as well,  
16          if you might, in helping out with some suggestions  
17          regarding the pediatric wording.  
18          If that's the case, I will entertain a motion that the  
19          Committee approve the Influenza Statement as presented  
20          and as amended, according to directions.

21          **DR. DESEDA:** I would like to make a brief comment. It

1 may not be the proper timing, but I think one very  
2 important issue that I see coming every year in my  
3 patients is that if any other respiratory illness  
4 affects anybody after the flu shot, that person is very  
5 unlikely to get it next year because they feel that  
6 it's a vaccine failure. And as physicians, we are the  
7 ones that perhaps contribute most to this because we  
8 call everything flu. I think if we're going to improve  
9 our ability to make the proper diagnosis, that will  
10 change, but it's going to take some time. And I didn't  
11 see anything in the statement mentioning that people  
12 should remember that not everything is flu and there's  
13 plenty of other respiratory illnesses around.

14 **DR. MODLIN:** That's a good point.

15 **UNIDENTIFIED SPEAKER:** So moved.

16 **DR. MODLIN:** Okay. It has been moved and --

17 **DR. HELMS:** Seconded.

18 **DR. MODLIN:** -- seconded that the ACIP approve the  
19 Influenza Statement for the influenza season 2001-2002.

20 Those who have conflicts with Wyeth and with Aventis,  
21 or potentially with Medeva, are not eligible to vote.

1 So those in favor of the motion, if they would raise  
2 their hands.

3 (SHOW OF HANDS)

4 **DR. MODLIN:** Those in favor: Dr. Deseda, Dr. Johnson,  
5 Dr. Levin, Dr. Smith, Dr. Offit, Dr. Tompkins, Dr.  
6 Helms, Dr. Word, Dr. Modlin, and Dr. Brooks.  
7 Those opposed?

8 (NO RESPONSE)

9 **DR. MODLIN:** None. Those abstaining?

10 (SHOW OF HANDS)

11 **DR. MODLIN:** Those abstaining: Dr. Rennels, Dr.  
12 Clover. The motion passes.

13 **DR. BRIDGES:** Thank you.

14 **DR. MODLIN:** Thank you. We'll take a break and start  
15 back up at 10:30 promptly. Thank you.

16 (RECESS FROM 10:08 A.M. TO 10:32 A.M.)

17 **DR. MODLIN:** Can I ask everyone to please take their  
18 seats so we can get started with the remainder of the  
19 morning session. We will be ready to start in just  
20 about one minute.

21 Let me again urge anyone who has further comments

1 regarding the Flu Statement to please get them to Dr.  
2 Fukuda or Dr. Bridges as soon as possible, during or  
3 after the meeting.

4 The next item on the agenda will be a session and  
5 discussion on the influenza vaccine supply and the  
6 delay that we've experienced this past season. We have  
7 a number of presenters, but I understand that the  
8 presentation will be led by Dr. Marty Myers. Marty?

9 **DR. MYERS:** Thank you. I think we have the technology  
10 organized here.

11 Well, the national immunization programs, I think, are  
12 the greatest achievements of the 20th Century, but one  
13 of the issues about them are the vulnerabilities and  
14 the number of vulnerabilities to the immunization  
15 programs. We've talked about a number of these over  
16 the years. One is the loss of disease visibility. We  
17 don't see children with paralytic polio or measles  
18 encephalitis anymore. And as a consequence, there's a  
19 lessened parental and patient motivation. We have a  
20 lot of challenges to safety credibility. There are  
21 disparities in coverage and what we're going to discuss

1 today, which is the whole issue about vaccine supply  
2 vulnerabilities to the immunization programs.  
3 This was in the *Atlanta Journal-Constitution* and I  
4 would suspect in a few other newspapers a couple of  
5 weeks ago, which is "I'm sick, the world has ended,  
6 call for help." And then Cathy asks, "Is there a shot  
7 to protect me from a whiny flu patient?" Huge demand,  
8 we ran out early this year. I just had to put it in.  
9 At the NVAC last week, we considered as a generic topic  
10 the whole issue of vaccine supply vulnerability, and we  
11 used influenza from this last year and the tetanus-  
12 toxoids-containing vaccines that we're going to  
13 consider later at this meeting as examples of  
14 vulnerabilities to the immunization programs and to  
15 vaccine supply. We also mentioned the issue about  
16 meningococcal vaccine, which is utilized episodically,  
17 the need for an oral polio stockpile, and so on. But  
18 basically, we concentrated on the influenza experience  
19 of the last season as a -- and the toxoids issues as an  
20 example of the vulnerabilities of the vaccine supply.  
21 And we established a working group to consider defining

1 those vulnerabilities to specifically look at where the  
2 places are that vaccine supply is vulnerable and then  
3 to consider the challenges that occur in addressing the  
4 issues of distribution and re-distribution of vaccine  
5 under circumstances of vaccine in short supply. So now  
6 you know why we picked influenza as the example  
7 to -- last season as an example to consider the whole issue  
8 of vulnerabilities to supply and then the consequences  
9 of dealing with trying to distribute and re-distribute  
10 vaccine in short supply.

11 There are a whole lot of aspects of vulnerabilities of  
12 vaccine supply and quite a number of them are given by  
13 the influenza experience last year. First of all,  
14 there are changes and sometimes unpredictable changes  
15 in vaccine supply -- in vaccine demand. So this  
16 morning's -- early this morning, we spent a lot of time  
17 talking about pediatric -- more permissible pediatric  
18 recommendations, the more permissive recommendations  
19 for the 50-to-64-year age group and so on and  
20 increasing demand for this vaccine.

21 There are a limited number of manufacturers and we'll

1 address obviously in a few moments, but for all of the  
2 vaccines there are limited number of vaccines. There's  
3 the whole issue of high development costs, the often  
4 limited profit motivation, particularly one of the  
5 issues we deal with with influenza and then the whole  
6 issue about public skepticism about safety. Some of  
7 these vaccines are produced in the United States and  
8 some of them are produced offshore. There are a whole  
9 series of regulatory imperatives so that we have issues  
10 relating to good manufacturing processes and the impact  
11 that that can have on vaccine supply. Influenza is  
12 probably one of the most complex production cycles for  
13 vaccine development, and when a new strain fails to  
14 grow at high productivity, it represents a  
15 vulnerability to the vaccine supply. Then, of course,  
16 there's the whole issue about dependence on other  
17 industries. In this case, for example, the egg supply  
18 is one of the other industries that drives or impacts  
19 the whole issue of influenza vaccine supply, and we saw  
20 many of these aspects this last year with influenza.  
21 Now, when a couple of people reviewed my slides

1           yesterday, they said this slide doesn't have a title,  
2           it doesn't a legend, and they're right. That's because  
3           I borrowed this slide by cut-and-paste from Norm  
4           Baylor, and he's going to show this slide in just a few  
5           minutes and he's going to give you the -- show the  
6           example, but it's 1998, 1999, and 2000. But what I  
7           would like you to do is instead of thinking of it that  
8           way, think of it a different way. Think about it as  
9           three manufacturers producing vaccine in a given season  
10          and one of the vaccine manufacturers, or more than one  
11          of the manufacturers, coming on line later than the  
12          other manufacturers so that there is a discrepancy  
13          between the rate of production of vaccine. Now think  
14          about the vulnerabilities that this makes to the  
15          vaccine supply, because this is clearly what happened  
16          this last year. This isn't manufacturer A, B, and C,  
17          but it gives graphically the issue that happened last  
18          year with a delay in the production, causing a  
19          functional shortage of vaccine during the primary  
20          immunization months. And then raises the whole issue  
21          of maldistribution of vaccine in short supply so that

1 if you were the red manufacturer here, then -- or  
2 rather, if you had licensed or contracted with the red  
3 or the green manufacturer to provide your vaccine, you  
4 would get it at very different times and it would show  
5 up in different parts of the distribution process at  
6 different times and impact -- So if you were a grocery  
7 chain and you had your vaccine from the green supplier  
8 and you were a nursing home and you had it from the red  
9 supplier, you have a lot of the types of problems that  
10 we experienced last year.

11 So some of the issues that relate to the distribution  
12 and re-distribution of vaccine in short supply are some  
13 of the things that we experienced last year. First of  
14 all, trying to determine how many doses are available  
15 and where they are, which would seem like a fairly  
16 important thing to know, is proprietary information.  
17 So tracking vaccine in the pipeline. Clearly, the  
18 manufacturers provided a great deal of information, but  
19 it's very difficult to get this information and  
20 provided. There exists pre-existing contracts the  
21 manufacturers had to the various distributors and the

1 distributors have to different providers. There are  
2 issues about managing stockpiles we talked about and we  
3 will probably talk about later when we talk about the  
4 toxoids.

5 And then there's the whole issue of the private and the  
6 public distribution systems as being remarkably  
7 different. Of course, with influenza vaccine, as Mr.  
8 Mason will show us, the vast majority of influenza  
9 vaccine is in the private distribution system.

10 Then there's the whole issue of infrastructure, the  
11 differences between adult infrastructure and pediatric  
12 infrastructure for the delivery of vaccines, and we'll  
13 say a little bit more about that later. Then the whole  
14 issue of -- We heard a lot of anecdotes about supply  
15 and demand, cost gouging that occurred this past  
16 season.

17 So that's sort of an overview of the issues that we're  
18 going to talk about. We're going to concentrate on  
19 issues of influenza, and Norm Baylor, from the FDA, is  
20 going to talk about this first from the FDA's  
21 perspective, and then Mr. Dean Mason from NIP, and then

1 I'll come back and make a couple of little summaries.

2 So, Norm is next.

3 **DR. BAYLOR:** As Marty said, I'm going to give you a  
4 brief overview of the FDA's perspective on the  
5 influenza vaccine supply and delays this past season.  
6 As most of you know, the flu vaccine is a good example  
7 of how vulnerable this system -- the vaccine system  
8 really is. In fact, we know that the vaccine strain  
9 changes, the potential is to change those strains every  
10 year. The target of the vaccine is to produce  
11 antibodies against the hemagglutinin and neuraminidase,  
12 and what we

13 try -- and the goal is to try to match -- get an antigenic  
14 match of the HA and the NA with the new strains, and  
15 that's how we predict the vaccine effectiveness. Then,  
16 of course, the influenza viruses are constantly  
17 evolving to escape the immune system. So this is a  
18 yearly process that we go through of having to try to  
19 make determinations on what the strain should be for  
20 from year to year.

21 In this slide, this is the slide that Marty just showed

1           you and now with the figures. And this is an example  
2           of the trivalent vaccine submitted for release, and  
3           basically, we're looking at 1998, 1999, and 2000. We  
4           all know that there's -- as I said before, that there  
5           was a delay in the vaccine distribution this last  
6           season, but the amount of vaccine produced in the year  
7           2000 was actually similar to the amount produced in  
8           1999. However, in this slide, you  
9           see -- if you look at 1998 in the green, we had -- about 50  
10          percent of the total vaccine was available around  
11          August. That's here in the green. Whereas, this year,  
12          it took us about until October to get to the 50 percent  
13          of total vaccine for release and we were out until  
14          November and December before we got up to -- close to  
15          100 percent of the vaccine distributed. Whereas, in  
16          past years, that's -- that vaccine was available in  
17          October.  
18          Now, the causes of the delay, there were a couple of  
19          causes of delay in the vaccine production. One was  
20          there was a production delay at three of the four  
21          manufacturers licensed to produce influenza vaccine in

1 2000, and we had really never been in the position  
2 where we had three out of the four manufacturers  
3 experiencing problems. Usually, you get one, sometimes  
4 two, but last year was -- we had three out of the four.

5 Then there were also corrections of deviations from  
6 good manufacturing practices that were noticed last  
7 year. Then we have the low yield of the A/Panama  
8 strain. One of the manufacturers had difficulties  
9 growing this particular strain. So with the  
10 combination of all these factors, we had a delay last  
11 year.

12 Now, this slide depicts the vaccine production cycle,  
13 and as you can see from this slide, this is a year --  
14 this is every -- all throughout the year, something is  
15 going on, I mean, from January to January. And we  
16 start up here with vaccine use. Generally, the vaccine  
17 use is -- vaccine is used between September and January  
18 as -- from this morning's discussion, in October,  
19 November in past years, we've been seeing most of the  
20 vaccine used up, but it stretches -- it may stretch  
21 from September to January. Of course, then the

1 distribution starts about July. We get -- The FDA gets  
2 the submissions reviewed and approved and you start  
3 distribution. Of course, you can't have -- it's  
4 obvious that you can't have distribution until you make  
5 the vaccine and the trivalent formulations, starting  
6 May, June, and the monovalents, this is going on all  
7 year and especially as we make the  
8 recommendations -- as the recommendations for the strains  
9 come out in that January VRPAC meeting, the  
10 manufacturers are able to get started about this time  
11 when -- if we are able to give them at least one or two  
12 of the strains that are going to be in that season's --  
13 that flu season's vaccine. And then the new seed  
14 viruses, that's going on all the time as far as trying  
15 to develop seed viruses that have good yields. Of  
16 course, the recommendations, again, January through  
17 March, January VRPAC, February is WHO, March VRPAC to  
18 wrap up all -- to get all three strains selected.  
19 Surveillance is a year -- through the year, and then,  
20 of course, new reference and reagents, these are  
21 occurring as well throughout the year.

1 This slide is a little bit busy, but I'll walk you  
2 through it, but it's basically the time of distribution  
3 of strains and reagents. And what we're doing here is  
4 showing you the timing by the month of the year of  
5 distribution of strains of the last five new strains  
6 recommended since 1998. The blue is the reference  
7 virus's potency and the red is the potency reagents.  
8 As you can see for last year, the A/Panama, we had  
9 reference virus ready at about December, January.  
10 Potency reagents were available in May. Looking at New  
11 Caledonia, reference viruses were made rather early  
12 and, again, the potency reagents were available around  
13 March. The yellow here, this is a constant. This is  
14 the time of the recommendations as I showed you on the  
15 last slide, January through March.  
16 Then the B strain, the Yamanashi, again, the reference  
17 virus is available February, June for the potency  
18 reagents. But the key here is that these strains --  
19 Last year we talked about delays, but these -- the  
20 reference viruses for the strains going into last  
21 year's vaccine were available at or -- either at the

1 same time as years past or somewhat earlier, such as  
2 the case with the New Caledonia strain. So this -- the  
3 time of distribution of these strains and reagents last  
4 year was not a reason for delays.

5 In this slide, it's just briefly to show you the seed  
6 viruses submitted for release. And in this slide, red  
7 represents A/Panama, blue New Caledonia, and green  
8 Yamanashi. And you can notice that if you look at the  
9 Panama seed virus, this was completed earlier and over  
10 a shorter time period than for other strains. So we're  
11 looking at the red here, April throughout -- April,  
12 May, the bulk of this seed virus was submitted for  
13 release. Whereas, you see the New Caledonia went out  
14 as late as September before all of it was released and  
15 the same thing with Yamanashi. So even though there  
16 were some problems getting the Panama going for one of  
17 the manufacturers, still the seed virus submitted for  
18 release was pretty much on target.

19 This slide shows the trivalent lots submitted for  
20 release over the past decade. And what you'll notice  
21 here is that between 1990 and the year 2000, there's

1           been about a twofold increase in the amount of vaccine  
2           available. We went from about 40 million doses in 1990  
3           to around 80 million doses in the year 2000.

4           So, in summary, the distribution or delay for  
5           shortages, they can be expected if production is  
6           delayed at multiple manufacturing facilities. And this  
7           is something we really can't predict, as the  
8           manufacturers begin growing the strains. We really  
9           don't know if there are going to be manufacturing  
10          issues early on. So this is hard to predict.

11          The production of the vaccine was delayed by temporary  
12          difficulties with the new vaccine strain and by need to  
13          correct manufacturing practices. Hopefully, we won't  
14          experience much of this working with the manufacturers,  
15          making sure the facilities are up to good manufacturing  
16          practices, and hopefully we can get -- in dialoguing  
17          with the manufacturers, we can minimize this.

18          Of course, as you all are aware, Parkedale did not  
19          complete the corrections and they withdrew from  
20          production in the last flu season. Besides one of the  
21          strains growing slow, that was corrected, and the GMP

1 problems, the year 2000 was not that atypical from  
2 previous years. I mean, as far as getting the reagents  
3 available, that was on target. As far as getting the  
4 strains selected, that was on target. There were just  
5 -- There are some factors that are not completely  
6 within our control.

7 So I'll stop there and take any questions.

8 **DR. MODLIN:** Thanks, Norm. Mr. Mason, Dean Mason from  
9 NIP?

10 **MR. MASON:** Thank you very much. I'm Dean Mason with  
11 the National Immunization Program. My purpose for  
12 being here, unless you ask my mother, is to present to  
13 you information on the impact of flu vaccine supply on  
14 program operations. What I hope to accomplish is to  
15 provide a brief view of CDC's flu vaccine contracting  
16 history -- I thought you might be interested in this  
17 because it gives you some insight as to who some of the  
18 past players have been in the flu market in the United  
19 States -- and also to address the differences in supply  
20 this year compared to recent years; describe the  
21 problem, the public health response and some of the

1 lessons we've learned; and highlight some of the key  
2 steps necessary for on-time production and supply for  
3 the coming season. Norm has focused -- much of his  
4 focus was on the front end and my focus will be on the  
5 result of this flu supply situation this year.  
6 CDC's contracting history for influenza vaccines  
7 actually began in 1976 with the Swine Flu campaign.  
8 The legends characterize the different companies in the  
9 initial year, '76. We contracted with four companies.  
10 The yellow, Merrell-National; Connaught, now Aventis  
11 Pasteur -- They clearly lead in mergers -- the maroon;  
12 Evans Medical, the light blue; ER Squibb; you'll notice  
13 Merck, an original, the initial three years was a  
14 producer of flu vaccine for the U.S. market. So 1976,  
15 indeed, with the production volume and so forth, was  
16 the year in which we had the most producers of flu  
17 vaccine.  
18 CDC contracted intermittently between 1976 and 1995,  
19 not every year, primarily because the focus of our  
20 funding and 317 grant program was to place priority  
21 emphasis on pediatric vaccines. Contracting has been

1 consecutive for the six years, or since 1995. CDC has  
2 had contracts for 14 of the past 25 years. Flu  
3 contracts have typically been stimulated by special  
4 initiatives or dedicated funding. For example, we  
5 worked collaboratively HCFA beginning in '86 through  
6 1991 on a pilot program in which we contracted each  
7 year to evaluate cost-effectiveness and to evaluate if  
8 Medicare would pay for influenza vaccinations.

9 Aventis Pasteur, who we show twice in this bar graph  
10 because we had two contracts with them in year 2000,  
11 the regular contract and a contract of 9 million doses  
12 -- Aventis Pasteur has contracted with CDC 11 of these  
13 25 years, including two contracts for this year. Of  
14 the seven companies, only three have given indication  
15 they will produce flu vaccine for the U.S. market for  
16 2001-2002. The 2000 bar represents the two contracts.

17 I think I mentioned that.

18 The figures on this table are provisional and are  
19 subject to change. This was the year when vaccine  
20 supply was sufficient but quite late. For 1999,  
21 looking at the -- and this does not include the 9-

1 million-dose CDC contract, but the 1999, or green bars,  
2 shows the typical distribution, not production, but  
3 actual distribution of product in the U.S. market. For  
4 1999, 98 percent of the flu vaccine had been  
5 distributed by October 31st. Contrast that for 2000,  
6 only 36 percent of the vaccine had been distributed by  
7 October 31st. Distribution of vaccine through the 9-  
8 million-dose contract did not begin until December  
9 18th. So we can see that distribution was completed  
10 last year by October and the bulk was still to take  
11 place this year in that experience.

12 We thought we would try to reflect who the customers  
13 are, where is the vaccine going to. Again, this data  
14 is provisional and not completely accurate, because one  
15 of our reporting sources could not truly tell us how  
16 much vaccine they distributed to nursing homes. But in  
17 terms of percents, and this is obviously conservative,  
18 at least three percent of the vaccine with the figures  
19 given to us went directly to nursing homes. 14 percent  
20 of the vaccine was bought by the Government. This  
21 would include DOD, CDC, U.S. Public Health Service,

1 Veteran's Administration, et cetera. 35 percent of the  
2 vaccine went to distributors, that is, resellers of  
3 product, and 47 percent of the vaccine went directly to  
4 private providers. If Schein/GIV is counted as a  
5 distributors and not as a manufacturer, and for the  
6 purpose of this slide we counted them as a manufacturer  
7 -- if you clump them in, cluster them in with the  
8 manufacturers, then distributors for the nation would  
9 be responsible for up to 54 percent of all the flu  
10 vaccine supplied in the United States.

11 I won't spend a lot of time on this slide. Suffice it  
12 to say, some of the key issues this year were the yield  
13 strain that's already been covered, the fact that  
14 Parkedale had intended to produce roughly 12 or 14  
15 million doses, did not come through in that production,  
16 and that there were good manufacturing practice issues  
17 with two companies. And finally, 100 percent of all of  
18 the vaccine was not distributed through the regular  
19 channels until December 27th by the vaccine  
20 manufacturers.

21 What was the public health response to the supply

1 problems this year? This list is by no means  
2 exhaustive and it's slanted toward actions taken by  
3 CDC, with which I'm obviously most familiar. CDC  
4 learned about the GMP issues and the strain yield  
5 issues in March of 2000. We had weekly contacts with  
6 FDA for updates after that. The FDA still, under  
7 regulation, had some constrains as to what they could  
8 and could not tell us. So part of our planning  
9 problems truly relate to -- by law, there are only  
10 certain things that can be revealed to CDC and, thus,  
11 we can pass along in a public forum to the states and  
12 to our partners. So, certainly, government  
13 communication, by law, is limited in some of these  
14 areas and you don't know the extent of the true problem  
15 or the degree of production or supply. Oftentimes, or  
16 at least this year -- not oftentimes, but this year's  
17 experience was that that information came even into  
18 June and July of the year and, certainly, Parkedale's  
19 withdraw from the market was -- I can't say totally  
20 unexpected, but we did not have any inside information  
21 as to that occurring.

1 We first alerted the states in April of this year of  
2 the potential problem. We gave recommendations very  
3 early on to the states about postponing mass clinics.  
4 The basic counsel was obtain your vaccine before you  
5 plan clinics. Don't plan clinics and obtain your  
6 vaccine.

7 CDC contracted for 9 million doses in September to  
8 roughly bring the total amount of vaccine that would be  
9 available in the market this year to the same level as  
10 it was in 1999 and 2000. We also established a flu  
11 vaccine availability web site on October 2nd. This web  
12 site had a lot of hits, but we didn't have a lot of  
13 information about where you could obtain vaccine until  
14 December. And Aventis Pasteur began delivering on the  
15 9-million-dose contract at the conclusion of their  
16 regular distribution. The original vaccine that we  
17 contracted for, that began December 18th.

18 Regarding the 9-million-dose contract that we  
19 undertook, this was a precedent for CDC to contract  
20 directly or with a manufacturer. We do contract each  
21 year for vaccine, but we typically contract on behalf

1 of the states. This year, with the 9-million-dose  
2 contract, which was accomplished on August 30th, the  
3 sales price was almost three dollars a dose in the  
4 public sector, five dollars a dose in the private  
5 sector. 7.7 million doses were packaged. We decided  
6 not to have prepared 1.3 million doses because the  
7 demand was low. Ordering began November 6th. Vaccine  
8 shipments began in December and 67 percent of the 2,700  
9 orders wound up being canceled.

10 I don't want to spend a lot of time on this slide  
11 because it's somewhat misleading, though this slide  
12 will show you that the persons most frequently  
13 canceling were resellers of product. In point of fact,  
14 1.8 million doses were canceled by one reseller. So  
15 that inflated the proportions that truly were being  
16 purchased by resellers. Of course, you can speculate  
17 as to reason for the cancellations as people obtained  
18 their vaccine ordered, they canceled the back-up order  
19 that they placed with us and Aventis because,  
20 obviously, they had received their supply or they were  
21 speculating in terms of vaccine, anticipating there

1 might be a market demand later on. When they  
2 determined that there wasn't a market demand, then they  
3 canceled the contract -- the purchase orders with  
4 Aventis Pasteur.

5 However, it is important or clear that we could point  
6 out that the most reliable of the orders was the public  
7 sector with the fewest number of doses -- orders  
8 canceled. The actual number of purchases in  
9 proportion, the private sector purchased half of the  
10 vaccine and the four percent actually wound up being  
11 purchased by resellers, 46 percent by the public  
12 sector.

13 I want to spend a little bit of time on this slide. I  
14 think it's an important slide because it reflects the  
15 influence that the public health sector or CDC has on  
16 the market. The yellow bars are what we contract for  
17 with flu vaccine each year. You can see that our  
18 contracts are limited, not by what we would like to  
19 order, but the manufacturers basically, up and until --  
20 we hope this won't be true this year, but they've  
21 limited us to between 1.5 and 2.5 million doses. For

1 this year, Wyeth limited us to a million doses and  
2 Aventis Pasteur limited CDC to a million doses. Again,  
3 these are the doses that the states actually -- we pass  
4 the state orders through on the CDC contract and then  
5 the orders go directly to the states.

6 The blue bar represents -- or let me stay with the  
7 total flu vaccine. The red bar represents the total  
8 flu vaccine that is distributed. This is provisional  
9 reporting, it's voluntary reporting, and it's under-  
10 reported because not every year did manufacturer report  
11 their total volume. But the point is that the public  
12 health proportion of vaccine, 1.5 million doses out of  
13 58.2 million doses, is a very small portion of the  
14 market. So our influence is very limited. Even this  
15 year, when we contracted for an extra nine million,  
16 bringing our total up to 11 million, it was 11 million  
17 of 78 million. I believe that's 77.9, if I'm reading  
18 that correct. Yes.

19 So in a -- I mean, we've never come this close before  
20 of having anywhere near seven or eight percent of the  
21 market. Very typically, it's less than five percent of

1 the market.

2 Now, the green bar -- to contrast this, this green bar  
3 represents the total pediatric vaccines purchased and  
4 the blue bar represents the total pediatric vaccines  
5 purchased through CDC's contracts. So in every year,  
6 you can see that the majority proportionate purchase of  
7 pediatric vaccines is through the public health sector,  
8 and this allows you to understand a little better  
9 perhaps the degree of influence that we exercise in the  
10 public sector and, by extension, the ACIP exercises  
11 directly by its recommendations, the influence being  
12 much greater in pediatric vaccines than it is in flu  
13 vaccine.

14 So what did we learn this year? Perhaps some of you  
15 could have predicted these things in advance of our  
16 actually experiencing it. We learned that there's a  
17 potential supply problem every year. The point that  
18 the manufacturers have long made, that FDA makes, is  
19 that flu vaccine, unlike MMR, unlike pneumococcal  
20 conjugate, is basically a new vaccine every year, a new  
21 production, a new formulation, and there are risks

1 involved in that in terms of reliability.

2 Private contracts for the purchase of vaccine often  
3 precedes the ACIP recommendations so that when the ACIP  
4 decides to target certain groups, certain peoples in  
5 the middle of the year, you may or may not be aware  
6 that the manufacturers are already beholding to a  
7 number of contracts that they have signed with  
8 resellers for the supply of vaccine to the reseller  
9 without regard to the ACIP recommendations. This is  
10 merely the timing and the way that the business cycle  
11 has to proceed. The ACIP recommendations may have only  
12 limited impact. And of course, this was something I  
13 know the ACIP deliberated about last year, but the  
14 motivation for a large employer to get all of their  
15 employees vaccinated may be a different motivation, to  
16 reduce the absenteeism, for work productivity, et  
17 cetera -- may be a different motivation than our trying  
18 to target the vaccine first to those who we've judged  
19 to be at greatest risk.

20 Distributors play a major role in vaccine supply. The  
21 market demand ends in November. We simply did not have

1 demand for the additional vaccine in December,  
2 certainly not January. And there's a wide variance in  
3 state operations in infrastructure related to influenza  
4 vaccine in particular and adult vaccines in general.  
5 We run the gamut from A to Z in terms of state interest  
6 in flu vaccine, from those states that want a very  
7 central focalized distribution system and influence  
8 some policy statewide to those states who basically  
9 say, we have no role in public health in flu vaccine,  
10 it's strictly local initiatives.

11 Finally, key steps for flu vaccine supply for 2001 and  
12 2002, I think Dr. Myers and Dr. Baylor have covered  
13 much of this: certainly, the ACIP recommendations will  
14 have an impact on demand; the identification of the  
15 viral strains; the CDC vaccine contracts we anticipate  
16 will be awarded about April 16th, if all goes smoothly.

17 And in August, vaccine distribution begins, if all  
18 goes smoothly.

19 That concludes this. Thank you.

20 **DR. MODLIN:** Dean, thank you. Marty, are you going to  
21 wrap up?

1       **DR. MYERS:** I'm just going to summarize. Dean already  
2       said it. I think that this is an extraordinarily  
3       complex process that we all take for granted, and the  
4       remarkable thing is that the manufacturers manage to  
5       produce between 70 and 80 million doses of vaccine  
6       year-in and year-out. It's rather surprising that we  
7       haven't had this kind of a problem previously, but I  
8       think, as Dean said, the vulnerabilities are there that  
9       this could -- this could certainly happen again.  
10      Influenza vaccine is distributed mostly in the private  
11      sector, which limits the available responses in periods  
12      of vaccine in short supply. I think one of the other  
13      things that Dean pointed out particularly well is that  
14      there isn't an infrastructure surrounding adult  
15      immunizations similar to that which we have for routine  
16      childhood vaccines. And then it's difficult to address  
17      the re-distribution of influenza vaccine in short  
18      supply because of each of those reasons, which sort of  
19      gets us to the bottom line, John, the issues of  
20      assuring supply, consideration of distribution and re-  
21      distribution of vaccine in short supply, and the whole

1 issue of adult immunization infrastructure.

2 **DR. MODLIN:** Marty, thanks. I think we obviously owe a  
3 debt of gratitude to Marty and the National Vaccine  
4 Program Office, NVAC, for taking on this issue and  
5 beginning to address a very important problem that I'm  
6 sure we'll be addressing for years to come.

7 We do have a little bit of time to open this up for  
8 discussion. It's obviously a very important broad  
9 topic. We don't have a lot of time, but let's take  
10 comments and discussion first.

11 Why don't we begin with Georges, and then Jon.

12 **DR. PETER:** Well, the National Vaccine Advisory  
13 Committee discussed this issue in equal detail last  
14 week, including other shortages. And as a result, we  
15 have formed a work group to study the broader issues  
16 with two specific points that Marty mentioned. One is  
17 the vulnerabilities and the second is challenges. We  
18 are not in a position yet to make recommendations to  
19 such -- for such a complex problem. I think the  
20 National Vaccine Advisory Committee, in its role, would  
21 be well-served by an ACIP representative, John. So we

1 very much would welcome a participant. And we expect  
2 that the working group will have a conference call in  
3 the relatively near future in order to get us started  
4 on this issue. Dr. Klein is the Chair of this  
5 committee and we have, I think, seven members, Marty  
6 and several

7 designated -- seven members and several DFO's, and an ACIP  
8 member would be very helpful.

9 **DR. MODLIN:** We'll take care of it. Jon?

10 **DR. ABRAMSON:** Jon Abramson.

11 The *New York Times* has reported that there was a gray  
12 market that aggravated this maldistribution of vaccine.

13 I'm wondering what we know about that, and to what  
14 extent it did contribute to the problem, and is there  
15 truly an investigation going on.

16 **DR. MODLIN:** Marty, are you prepared to address that?

17 **DR. MYERS:** I think there are a lot of anecdotes,  
18 including those in the *New York Times*. We certainly --  
19 everybody -- each of the different agencies received a  
20 lot of calls and commentary about that. It's very hard  
21 to get concrete data on that.

1       **DR. MODLIN:** Walt?

2       **DR. ORENSTEIN:** I was going to say that the General  
3       Accounting Office is conducting an investigation in  
4       what happened this past flu season.

5       **DR. MODLIN:** Lucy?

6       **DR. TOMPKINS:** John, first of all, I'd like to  
7       volunteer to join Georges' committee, bearing in mind,  
8       Georges, that I have no expertise, nor understanding,  
9       nor was I actually aware of how little influence the  
10      CDC and the ACIP has on adult immunization. And my  
11      question to you pediatricians over there is just, is it  
12      -- why is there so much more influence on pediatric  
13      vaccines? What's the history of that? What  
14      organization -- Is it the AAP, you know, what is it,  
15      that's really made the big difference?

16      **DR. PETER:** Well, Lucy, that's a very, very important  
17      question. I think the Academy has played a very major  
18      role in the sense of -- that the pediatricians are the  
19      ones who deliver vaccines, together with family  
20      physicians. And I think their involvement helps  
21      greatly because pediatricians get their information

1 from the Red Book Committee, not necessarily from ACIP.

2 So the collaboration is very important, but mostly  
3 importantly is we have a public health infrastructure  
4 that is focused on childhood vaccines, and I think that  
5 dates back to the history of vaccines, with the major  
6 problems of polio and measles, et cetera, et cetera.  
7 But I think your involvement would be very helpful  
8 because, first of all, you're an internist and,  
9 secondly, is you're very much involved with the major  
10 organization of Infectious Disease Physicians, the  
11 IDSA. So I think you might very well bring a  
12 perspective that would help us, too, but I'll leave the  
13 decision to John.

14 **DR. MODLIN:** Thank you, Lucy. You have your  
15 representative.

16 Are there other comments or questions? Dr.  
17 Marchessault?

18 **DR. MARCHESSAULT:** I think the Canadian experience has  
19 been -- might be something to look at. Of course,  
20 influenza vaccination has been under the responsibility  
21 of public health in Canada. They have a general

1 purchasing, and really, they control the flow of  
2 influenza vaccine in Canada. So if ever there was a  
3 delay or a shortage, they would be able to provide the  
4 necessary individual who needed the vaccine and not  
5 provide the others. It's a very effective model and it  
6 controls the price also.

7 **DR. MODLIN:** All right. Walt, do you or Dean want to  
8 say anything more about the contract this past year,  
9 the extra contract, with Aventis, and with respect to  
10 any assessment of how ultimately successful it was in  
11 terms of vaccine reaching those at high risk? I know  
12 that that data probably is difficult, if not  
13 impossible, to come by, but I'm sure it's an interest  
14 to everyone.

15 **DR. ORENSTEIN:** There will be attempts to evaluate what  
16 happened. Obviously, only 1.5 million doses of the 9  
17 million that were contracted for actually got  
18 purchased. How many of them were actually used, I  
19 don't know. I don't know if any of the states have any  
20 information, but we will be trying to make some  
21 evaluation of what went on.

1       **DR. MODLIN:** Certainly, it, I think at one point in  
2       time, provided a certain degree of -- it was a small  
3       insurance policy that was -- I think was -- at least in  
4       my opinion, was very well thought through.

5       Natalie?

6       **DR. SMITH:** Yeah, just a couple of comments from a  
7       state prospective.

8       Dean mentioned that the market demand ended in  
9       November, which is true. Part of the issue that we had  
10      and other states had was that it was actually a lighter  
11      flu season than general. So I think if there had been  
12      a heavier flu season, more of that vaccine would have  
13      been used up.

14      Then, secondly, I --

15      **DR. MODLIN:** Natalie, could I press you on that?

16      **DR. SMITH:** Yes.

17      **DR. MODLIN:** Even though we saw some data that showed  
18      that it was a lighter flu season, it also looked like  
19      it peaked later this year by about a month.

20      **DR. SMITH:** Yes.

21      **DR. MODLIN:** So we're still on the very early part of

1 the down slope. You think that truly did affect  
2 vaccine acceptance and uptake even this late in the  
3 year?

4 **DR. SMITH:** It certainly did in our state because  
5 multiple media reports went out that the flu season  
6 seem to have peaked around the end of the year. And  
7 judging from conversations I've had with other states,  
8 or at least some of the other states, it did seem to  
9 have an effect.

10 **DR. MODLIN:** Okay. Rick?

11 **DR. ZIMMERMAN:** I think Lucy's question was very  
12 insightful, and there have been a number of factors,  
13 clearly the Red Book, the VFC --recommendations from  
14 this Committee. I think a third thing would be the  
15 Harmonized Schedule has had an impact in pediatric  
16 vaccine recommendations. And that leads me to the next  
17 question. There's been some discussion here about the  
18 idea of a Harmonized Adult Schedule, and is -- are we  
19 going to proceed in that direction? Is that part of  
20 the charge to the childhood harmonized group, is that  
21 part of the adult group, or are we going to create a

1 harmonized adult group? But I think that's a question  
2 that is actually a fairly important question.

3 **DR. MODLIN:** It is an important question, and we have  
4 began to discuss it a bit. We've discussed it with --  
5 Rick, do you want to -- do you have anything to say,  
6 other than the fact that this issue has been put before  
7 the adult group, which would be the appropriate place  
8 to start.

9 **DR. CLOVER:** It is an issue that has been addressed in  
10 our working group and we'll begin discussion on that  
11 today at noon in the group.

12 **DR. SNIDER:** I guess maybe others might mention it, but  
13 I want to be sure that the school immunization  
14 requirements are on the table as another incentive for  
15 childhood immunization, which has clearly made a big  
16 difference. Also, I did want to mention that influenza  
17 vaccine coverage has just recently been included in  
18 HEDIS. So, hopefully, in future years and trying to  
19 think about incentives for adult immunization, that  
20 kind of -- a carrot, if you will, or stick, depending  
21 on how you look you look at it, will be available and

1 other tools will be available to improve coverage.

2 **DR. MODLIN:** That's an important message. And at the  
3 same time that we are beginning to experience stresses  
4 in the supply, we've also seen marked increases,  
5 particularly in some of the high-risk groups in terms  
6 of vaccine acceptance, and those two are not unrelated.

7 I think as we go forward, that's an important message  
8 to carry.

9 Lucy?

10 **DR. TOMPKINS:** Just one other comment. Peggy Rennels  
11 just reminded me that a large proportion of the adult  
12 coverage is coming through Medicare. And of course,  
13 that would get the very highest risk group. It doesn't  
14 help with the 50-to-64-year-olds, but -- so what is our  
15 relationship with health care financing and our  
16 recommendations and how are those impacting on -- I  
17 mean, if Medicare is simply an individual  
18 responsibility, you've got your influenza vaccine paid  
19 for if you're Medicare-eligible. But what's our  
20 coordination with that?

21 **DR. MODLIN:** Dixie, Walt? Randy?

1       **DR. GRAYDON:** One thing I want to say is that we are in  
2 a project now with CDC in ten states where we're  
3 promoting standing orders by using our pros. And in  
4 addition, we sent an "All State Medicaid Directors"  
5 letter out this year encouraging all states to send  
6 letters to their -- all their nursing homes asking them  
7 to use standing orders. We, of course, pay for it. We  
8 allow them to bill in manners that make it easy for  
9 them to bill. They can bill on a ledger billing, that  
10 is, that the whole -- everybody in one nursing home be  
11 put on one bill. So we do everything we can to make it  
12 as easy for them to uptake the vaccine as possible.

13       **DR. MODLIN:** Thanks. Bonnie?

14       **DR. WORD:** Just to go back to Lucy's comment and even  
15 in one sense, I think one of the major differences as a  
16 pediatrician is that the concept of routine childhood  
17 immunizations -- I mean, that's a concept that's  
18 accepted and most parent understands that. The average  
19 individual knows that. And I think one of the things  
20 that may be is a marketing issue or whatever, but that  
21 concept of routine adult immunizations does not exist.

1           And until that concept is accepted amongst adults,  
2           then you're not going to get that big buy-in with all  
3           the other parties. I know that the National Medical  
4           Association was beginning to work on a project and they  
5           were calling it a "Family Affair," to try to push it as  
6           this is something that we can do as a family, to try to  
7           bring the adults in, not just to have it. So it may  
8           just be the way that it's approached to adults.  
9           There's no such -- The concept is nonexistent as  
10          routine.

11         **DR. MODLIN:** Sam?

12         **DR. KATZ:** I would just like to add -- This is Sam  
13         Katz. I'd add to Bonnie and to Lucy, that you're not  
14         old enough. Having sat through these meetings for a  
15         good number of years, there were only three people who  
16         ever spoke on behalf of adults: Bill Schaffner, David  
17         Fetson, and Pierce Gardner. They tried to develop a  
18         Green Book for the internists that would mimic the Red  
19         Book and generate interest. It's the physicians who've  
20         never been interested and who've never generated the  
21         enthusiasm that pediatricians have for immunization.

1 Over and over and over again, they've dropped the ball  
2 as far as making immunization an important part of  
3 primary care medicine for adults. Here he is.

4 (LAUGHTER)

5 **DR. MODLIN:** Dave?

6 **DR. FETSON:** David Fetson, Aventis Pasteur.

7 Of course, Sam is right, but not completely so. I  
8 mean, I think that people here ought to recognize that  
9 63 percent of people have -- who are over the age of 65  
10 get influenza vaccine. That is a very credible  
11 performance, particularly since it's increased so much  
12 in the last five years or so. The major factor of  
13 that, of course, is the Medicare reimbursement which  
14 came into effect for paying for the administration, not  
15 just for the cost of the vaccine, but it's  
16 administration in May of 1993 that had been previously  
17 not allowed under the rules of Medicare, and that's had  
18 an enormous affect in this country. And our  
19 pneumococcal polysaccharide vaccine use in adults is  
20 probably now close to 50 percent. The United States  
21 leads all developed countries in the world in its use

1 of both influenza and pneumococcal vaccines, and those  
2 are vaccines for older adults. So I don't think that  
3 the story is all that bad, but it can get a lot better.  
4 Victor Marchessault described very briefly, in few  
5 words, what goes on in Canada and, unfortunately, very  
6 few people I think really responded to the truth of  
7 what he was saying. In Canada, about 95 percent of the  
8 influenza vaccine is distributed by provincial health  
9 departments to physicians who give it in their private  
10 offices. A problem that occurred in the United States  
11 this year would never occur in Canada, and I think  
12 we've got to remember that. It would never occur in  
13 Canada. It takes a morning's activity on the phone to  
14 determine how much vaccine is going to be required by  
15 the provincial health departments. That's all -- one  
16 morning. And they decide exactly what their national  
17 use of vaccine is going to be and they get on with  
18 their business. I think there is some lesson to be  
19 learned in that, certainly for adult immunization in  
20 the United States.

21 **DR. MODLIN:** I don't know if Lance Rodewald is still

1 here, but -- Sorry about that. Lance, do you want to  
2 bring us up-to-date on the movement towards new HEDIS  
3 standards for the vaccine?

4 **DR. RODEWALD:** Right. Two weeks ago, the NCQA, the  
5 National Committee for Quality Assurance, voted to  
6 accept, at a fairly narrow vote, the HEDIS measure for  
7 flu vaccination 60 to 64 years of age. They've had one  
8 for elderly adults for sometime now. This is out for  
9 public comment and public comment will be accepted  
10 until, I think, the third week of March or so. And the  
11 vote was fairly close unlike the childhood. They had  
12 another change in the childhood measure, which was to  
13 reduce the length of participation in a plan before a  
14 child is counted, but this will bring the flu measure  
15 for 50-to-64-year-olds -- it will bring millions and  
16 millions of adults under measurement in here, and I  
17 think it has the potential to have a huge impact of the  
18 uptake of flu vaccination.

19 So one of the things to do is to, I think, support  
20 recommendation. I think it was a very good one, but  
21 it's not done yet.

1       **DR. MODLIN:** Thanks, Lance. I'm going to take the  
2 initiative to draw the discussion to a close, just in  
3 interest of time, but obviously, this is a topic that  
4 we will continue to put before the Committee, probably  
5 on a regular basis from meeting to meeting.  
6 The next item on the agenda is an update on the live-  
7 attenuated influenza vaccine and I understand that that  
8 will be led by Dr. Fukuda. Keiji?

9       **DR. FUKUDA:** Thanks, John. What I'm going to just do  
10 in the next few minutes is update the Committee on, I  
11 think, where we are and sort of give you a sense of the  
12 dynamics and what the time table is, because I think  
13 that it is getting close to the time when the Committee  
14 is going to have to begin making some decisions.  
15 So, basically, in terms of flu vaccine recommendations,  
16 there are two main issues. The first one is whether  
17 healthy and young children should be routinely  
18 vaccinated against influenza. This is an issue Jon  
19 brought up a little while ago, but it's been in front  
20 of us for a while. And the second issue is if a live-  
21 attenuated flu vaccine -- if it's approved by FDA, how

1 would ACIP recommend its use.

2 Now, to a certain extent, the live-attenuated issues  
3 and the pediatric issues have been kind of muddled and  
4 sort of lumped together, and there's a couple of  
5 reasons why these issues appear to be intertwined.

6 The first one is that it's really been very clear that  
7 the potential approval of a live-attenuated influenza  
8 vaccine has spent a lot to focus attention on children  
9 and on the question of whether children should be  
10 routinely vaccinated against flu.

11 A second reason is that there have recently been some  
12 live-attenuated influenza vaccine efficacy and  
13 effectiveness studies, again really focused in  
14 children, and these reports have been generally --  
15 generally quite favorable. Again, this has sort of  
16 engendered a lot of discussion about potential benefits  
17 of using live-attenuated vaccine in kids, for example,  
18 the fact that you can administer them without needles.

19 In addition, there have been some other recent  
20 studies, some conducted by Kathy Neuzil, some by Hector  
21 Desureata [phonetic] and people at CDC affirming that

1 influenza has a serious impact in young children. And  
2 here I want to emphasis young children, and by that,  
3 we're really talking about the group of kids who are,  
4 say, less than four or less than three.

5 Then, finally, it's clear from the submission by Aviron  
6 that the company is planning to market live-attenuated  
7 influenza vaccine for children.

8 However, there are some points that I want to take some  
9 pains to point out and try to separate.

10 The first one, and the most important one and one I'm  
11 going to repeat a couple of times, is that the issue of  
12 whether to recommend influenza vaccination of kids is a  
13 separate issue from how ACIP might recommend the use of  
14 a live-attenuated vaccine, and we have to take pains, I  
15 think, throughout the summer and the whole process to  
16 keep those issues separate. They are different issues.

17 The second thing is that I want to point out that there  
18 already is an inactivated influenza vaccine, a vaccine  
19 which we use in the country, and this vaccine is  
20 recommended already for children six months and  
21 greater. And perhaps -- almost the most important

1 point is that ACIP already recommends vaccination of  
2 kids older than six months if they have a high-risk  
3 condition, and to point out that, again, this has been  
4 a relatively unsuccessful effort. Some of the data  
5 that we've heard about in the past couple of years  
6 indicates, for example, that vaccination groups in  
7 kids, for example, with asthma, are as low as about  
8 nine or ten percent. So we have recommendations out  
9 there and we haven't really been able to implement  
10 them, even though there is a licensed vaccine.  
11 Now, in terms of what's coming up this year and into  
12 next year, here are some of the important dates. On  
13 October 31, a biologics license application was  
14 submitted by Aviron for a live-attenuated vaccine. The  
15 BLA was accepted by FDA at the end of December. And  
16 it's probable, again not known, but it's probable that  
17 sometime during the summer or fall of this year, there  
18 will be a review of the product by FDA's VRPAC. Now,  
19 what's uncertain is that after that review what the  
20 FDA -- the timing of the FDA decision will be related to the  
21 live-attenuated vaccine.

1 So after we get past the summer and fall period, it's  
2 really unclear what's going to happen, but one of the  
3 possibilities is that in time for the October ACIP  
4 meeting the Committee will be faced with having a  
5 licensed live-attenuated vaccine and could need to make  
6 decisions at that time. It's also quite possible that  
7 there will be no decision at that time, but one could  
8 be made in the winter so we could have the possibility  
9 that ACIP would have to make a decision in February or  
10 perhaps later. So it becomes unclear.

11 Now, sort of taking those things into account and sort  
12 of, I don't know, working out the process for the last  
13 couple of years, a timetable has evolved for the summer  
14 and for the coming year, I think. The first thing is  
15 that we're at this meeting in February. In May, the  
16 working group, the Influenza Working Group, which I  
17 think you all know is chaired by Bonnie Word, is  
18 planning to hold a meeting in Atlanta and there are  
19 going to be several different topics discussed in a  
20 fair amount of depth over two days. The first one will  
21 be the safety and effectiveness of inactivated

1 influenza vaccine in children, a review of that and a  
2 subgroup discussion. The second thing will be that  
3 there will be a review of the development and published  
4 studies on the effectiveness of live-attenuated  
5 influenza vaccines, not just the current product, but  
6 going back for the past 30 or 40 years. Then there  
7 will be some subgroups which will be presenting their  
8 take, their sort of review of certain topics, and one  
9 of the topics will be what's the potential for  
10 reversion of live-attenuated influenza strains back to  
11 some more virulent type of strain; what's the potential  
12 for the recombination of live-attenuated strains and  
13 wild-type viruses; and then there will be a lecture or  
14 review by a couple of people on what the impact of  
15 influenza is on children. I think at that time we'll  
16 be able to look both at morbidity and mortality data.  
17 Another subgroup is going to be reviewing the potential  
18 for repeat influenza vaccinations to have adverse  
19 immunologic effects on children and then another  
20 subgroup is going to be reviewing the potential  
21 biologic issues relating to the co-administration of

1 flu vaccine with other childhood vaccines. And I think  
2 that at that meeting, we'll begin the process of  
3 drafting what some of the ACIP options may be in the  
4 fall or winter.

5 So then we envision that after that meeting that the  
6 Full Committee will apprised of the working group  
7 discussions. Now, I think that, again, a lot of this  
8 whole process is really predicated on what happens at  
9 FDA and with VRPAC. Again, we don't know the timing,  
10 but possibly in July, August, or later in the year,  
11 there will be a VRPAC review of the Aviron product.  
12 And basically, the purpose of the VRPAC review is to  
13 look at the existing efficacy and safety data. So once  
14 the VRPAC goes through its process, at some point FDA  
15 will digest that information and basically the FDA will  
16 come to a point where it's ready to either approve the  
17 product, reject the product, or to request more data.  
18 Again, we can't -- we can't predict -- we don't know  
19 how that process is going to evolve, but based on the  
20 fact that the May meeting is only going to cover some  
21 of the important topics, we're envisioning that there's

1 going to need to be at least a second working group  
2 meeting, possibly in September or October. This is  
3 certainly not decided but under discussion. But some  
4 of the issues that also need to be discussed at that  
5 point would be: what are the feasibility of carrying  
6 out pediatric recommendations if they are made; what  
7 are the economic considerations of such a  
8 recommendation if it was made; and then what would be  
9 the impact of pediatric recommendations on existing  
10 childhood vaccine schedules and programs.

11 Also, at the second meeting, if VRPAC and the FDA have  
12 completed their review of the Aviron submission, what  
13 we're envisioning is that the working group will also  
14 want to look at the -- some of the data on whether  
15 there is an increase in adverse symptoms in live-  
16 attenuated vaccine recipients. We also envision that  
17 the work group and Committee is going to want to look  
18 at whether exposure of live-attenuated vaccine to  
19 certain high-risk groups, such as people with chronic  
20 lung disease or people who are immunosuppress, poses a  
21 risk to them. Then I think also at that second

1 meeting, we will continue to draft the potential  
2 options for ACIP.

3 So I think what this is driving at is that it is  
4 possible that in October of this year, the Committee  
5 will be faced with the possibility of making pediatric  
6 recommendations, really aiming for the 2002 season.  
7 And I think that in October -- by the summer, we should  
8 be clear whether it's the right time for the Committee  
9 to either make a decision or whether that decision  
10 should be deferred.

11 In terms of the live-attenuated vaccine, I think this  
12 really depends on how the process goes with VRPAC and  
13 FDA. If the LAIV is approved by FDA prior to the  
14 October ACIP meeting, I think that it's quite possible  
15 that the Committee will need to decide whether it wants  
16 to make recommendations on its use or whether it wants  
17 to defer that decision until later. If the Committee  
18 goes ahead to make recommendations in October for the  
19 2001 season, for this coming season, these  
20 recommendations would have to come out in a supplement  
21 publication.

1 If the LAIV approval process is not completed at FDA  
2 and there is no approved product, then the  
3 possibilities are a little bit easier, and then  
4 basically they would just defer any recommendations  
5 until later.

6 So, in conclusion, I just want to point out again that  
7 the pediatric and the live-attenuated issues overlap,  
8 clearly. But I think that we need to work very hard to  
9 keep them separate. When you think about the two  
10 issues, the really fundamental issue is whether to  
11 recommend vaccination of young children. If there are  
12 pediatric recommendations that are made, this is going  
13 to have a broad impact and it's going to impact both on  
14 children and parents, it's going to have an impact on  
15 pediatric practice, it's going to have an impact on  
16 existing childhood programs and schedules, and it  
17 potentially could have an impact on supply situations.  
18 Now, the second issue is that if there is a licensed  
19 live-attenuated vaccine, ACIP will eventually make some  
20 recommendations on its use but, again, when you look at  
21 the big picture, a licensed live-attenuated vaccine is

1 really going to provide another option for carrying out  
2 existing recommendations. So the final point I want to  
3 make is that, again, the ACIP has to be prepared to act  
4 potentially in October of this year, possibly in  
5 February of next year. Again, it becomes difficult to  
6 tell you exactly when votes are going to be coming up,  
7 but that's the potential time frame.

8 **DR. MODLIN:** Keiji, thanks. Keiji has very nicely laid  
9 out a road map for us and outlined the task at hand.

10 He also has pointed out that -- I think that  
11 pediatricians may be subject to a bit of a mere culpa  
12 in this respect in the sense that acknowledging that  
13 our adult colleagues are doing a better job at  
14 immunizing our high-risk individuals -- patients than  
15 we are. Of course, it's an important issue.

16 This is not the time to be discussing specifically the  
17 pediatric recommendation or LAIV, but if there are  
18 comments with respect to the process that Keiji has  
19 laid out -- Maybe we should start by asking Jon what  
20 the Academy is planning on doing in the parallel.

21 **DR. ABRAMSON:** Yeah. I think Keiji and we are in total

1 agreement that the issues are, you know, intertwined  
2 but separate, and what we are likely to do in our  
3 spring COID meeting at the end of March is decide  
4 whether we are going to liberalize to the extent of  
5 using words like encourage, economically and  
6 logistically possible, the use of the vaccine for the  
7 young group. So I just thought it was only fair to  
8 make the ACIP aware of that movement in that direction.

9 **DR. MODLIN:** Would you care to predict where you're  
10 going with this, Jon?

11 **DR. ABRAMSON:** I think that the three of us here think  
12 we're going to move in that direction. So when I say  
13 the three of us, for those who don't know, Peggy is  
14 also on the Committee of Infectious Diseases.

15 **DR. MODLIN:** Thanks. Bonnie, did you have anything  
16 else that you wanted to add?

17 **DR. WORD:** I think Keiji -- I think one of the things  
18 that we kept emphasizing was it is intertwined, but to  
19 really look at it as two distinct issues, because  
20 whether or not -- I guess I'm saying that I think he  
21 made the point, and I think that's what we really

1 wanted to emphasize, you know, you expand the  
2 recommendations and then, if so, we have options of two  
3 different things, but keep them separate.

4 **DR. MODLIN:** Yes, Dixie?

5 **DR. SNIDER:** Dixie Snider. I have a comment about the  
6 process.

7 I just wanted to make everyone aware of the fact that  
8 we obviously are working closely with the FDA on this  
9 issue. We do not want to get in a position where ACIP  
10 is making a recommendation before their vaccine is  
11 licensed. On the other hand, we don't want to be in a  
12 position of -- particularly since a lot of public  
13 sector activity depends upon the actions ACIP takes, we  
14 don't want to be in a position of having a two-tiered  
15 system whereby the private sector starts using a  
16 product and the public sector is unable to use it  
17 because ACIP hasn't made its recommendation. So it's a  
18 difficult balancing act.

19 We've had some discussions around ability to share  
20 information with Committee members, work group members,  
21 and the possibilities of utilizing appointment as a

1 special government employee to be able to share  
2 information that would be proprietary, of course, with  
3 the pledge of maintaining confidentiality. And we're  
4 still working on that issue with the legal folks at  
5 FDA, and I don't think we've come to a final conclusion  
6 on it, but from the program standpoint, I think we're  
7 in agreement that we would like to be able to share as  
8 much data as possible with ACIP work groups, including  
9 the Influenza Work Group on this particular issue.

10 **DR. MODLIN:** Thanks, Dixie. Yes, Dr. Neuzil?

11 **DR. NEUZIL:** Kathy Neuzil. I just have a question.  
12 You mentioned the two separate areas of the live-  
13 attenuated vaccine and the pediatric issue. I'm  
14 curious if the FDA indication is -- if they went in for  
15 a FDA indication for both children and adults, how  
16 that's tied together and if this Committee will also  
17 have to address the third issue is, what do we do with  
18 live-attenuated influenza vaccine in our adult  
19 population.

20 **DR. FUKUDA:** Well, I think that once the FDA -- I think  
21 that once the FDA process is completed, if there is a

1 licensed vaccine, then we'll have indication from FDA  
2 for what age groups the vaccine has been recommended  
3 for. And then I think that, you know, the group here  
4 will have to decide whether it will simply say in the  
5 recommendations that vaccine is recommended for high-  
6 risk people or it's recommended for these groups, and  
7 then there are two options. One is inactivated vaccine  
8 and one is live-attenuated vaccine. But I think that  
9 once we have the approved product, then this group here  
10 will have those discussions.

11 **DR. SNIDER:** I just might add -- This is Dixie Snider  
12 again -- that we've had some discussions with FDA  
13 around these issues, because what we're talking about  
14 is off-label use. And we don't want to be in a  
15 position, the ACIP, of not making recommendations for  
16 off-label use for -- in situations where we don't have  
17 the data, we're unlikely to get the data, and yet our  
18 clinical judgment indicates some action needs to be  
19 taken. The other side of the coin is that we don't  
20 want to be in a position of giving -- opening the door  
21 to indications that really should be studied by the

1 manufacturer, and so we have to be careful about the  
2 situations under which we make recommendations that are  
3 off-label and make sure that we're making off-label  
4 recommendations with a justification and not in  
5 situations where data could be obtained to justify  
6 doing the studies that are necessary. I think this is  
7 -- I say this for the education of some of the new  
8 members particularly, because I think we went through  
9 this similar issue around hepatitis B not too long ago.

10 So many of you understand from that experience what  
11 we're talking about.

12 **DR. MODLIN:** Dr. Mendleman?

13 **DR. MENDLEMAN:** Hi. Paul Mendleman from Aviron.

14 I think I can shed some light on the data in the  
15 biologics license application, having been involved in  
16 it intimately.

17 The indications that have been submitted and the data  
18 that are robust in the files support healthy children  
19 and healthy adults, healthy children beginning at one  
20 year of age and above. So there are no data in the  
21 application that would support six-to-twelve-month-

1 olds.

2 We have data that's been generated that is in the  
3 application, as well as ongoing, in certain  
4 subpopulations that are considered high-risk, but  
5 although those are in the file, those data are limited  
6 in terms of number. So there was a study conducted in  
7 adult HIV-infected subjects with mild or A cell  
8 dermatic disease that has been published by Jim King  
9 and JID that shows the vaccine was, in that subset of  
10 about 50 individuals, generally safe and well  
11 tolerated. There's also a similar study conducted by  
12 the NIH by Jim King and others that's been submitted as  
13 an abstract SPR this spring, and the data have been  
14 unblinded. And again, it's in mildly-symptomatic or  
15 asymptomatic children but not in AIDS children or in  
16 the adult subset in adults with AIDS.

17 We've also studied asthmatic children with moderate to  
18 severe asthma based on the NHBI guidelines published in  
19 1997, and that's in the application, but it's also in a  
20 number of children, 48 children. 24 received placebo  
21 and 24 received Flumist. Again, in that population it

1 was generally safe and well tolerated. In a larger  
2 subset of asthmatics that have been tested, on exposure  
3 in Dr. Glezen's trial in Temple, Texas, in children 18  
4 months to 18 years of age with a history of wheezing  
5 illness and asthma, it reacted well with disease. But  
6 I think the Committee should understand that it's  
7 really up to the FDA to decide on the data that's there  
8 for these higher risk populations and that our -- the  
9 robustness of our study population is healthy children  
10 and healthy adults and that's the indication they  
11 requested.

12 **DR. MODLIN:** Thanks, Paul. Other comments?

13 (NO RESPONSE)

14 **DR. MODLIN:** Keiji, thanks very much. Obviously, this  
15 is an issue that will be before us and on which we will  
16 be spending a lot of time and focus in the next two,  
17 perhaps three meetings or longer.

18 Chuck, Dr. Helms, and his working group are towards the  
19 end of the task of updating the smallpox  
20 recommendation. We examined the draft in some detail  
21 at the October meeting, and I believe the purpose today

1 is to go over whatever minor changes may have been  
2 added since then and to approve the document.

3 **DR. HELMS:** For those of you that haven't been around  
4 since the designation of the Bioterrorism Working  
5 Group, we came into being over a year ago now with the  
6 purpose of looking at those vaccines which are going to  
7 be important in regards to the civilian use of vaccines  
8 for prophylaxis and treatment of diseases that are of  
9 highest concern for bioterrorism. The two vaccines  
10 that we were assigned to work on were anthrax vaccine  
11 and also vaccinia vaccine.

12 You're aware from our last visit that the anthrax  
13 vaccine recommendations were approved and have now been  
14 published, and I guess they're in your handouts today.

15 Today we're bringing before you what we hope is the  
16 final draft of the vaccinia recommendations as well.  
17 We've been very fortunate in the working group -- in  
18 having a working group of some experts which has been  
19 historically interesting to have so many -- I guess the  
20 term advisedly old-timers on the group who have  
21 actually seen a case of small pox and to have some

1 younger people that wouldn't even have the slightest  
2 idea about it. And this may well be one of the last  
3 times in history when have such a spectrum of activity.  
4 At any rate, Lisa Rotz is here to present the changes  
5 that have come about since the last draft that you saw  
6 and would be open for discussion on that, of course  
7 after that presentation. Thanks.

8 **DR. ROTZ:** I apologize for the slight delay. I had to  
9 make a quick change on a slide here. I would be one of  
10 the younger people that he was talking about that would  
11 have no idea.

12 As Dr. Helms said, we've been working on this since  
13 June or July of last year. It seems like it's even  
14 been longer than that, but -- I'll try to get through  
15 these fair quickly since it's lunchtime. I know that  
16 everybody is probably hungry.

17 As he said today, I'm going to present to you mostly  
18 the changes and additions from the 1991 recommendations  
19 that we included in the 2001 recommendations, and the  
20 draft you have still has 2000 on it because I've not  
21 quite caught up with the times, but it's been changed

1 on the presentation and will be changed on the next  
2 draft or the final working document that's presented  
3 for voting. So I'll present to you the major changes  
4 and engage in any discussion that you would like to  
5 have on those changes and then discuss whether or not  
6 you feel a draft is ready for a vote at this time.  
7 This is just a quick outline of the different sections  
8 that are addressed in the draft that you see in front  
9 of you, and the ones that I'll be discussing in more  
10 detail are the ones that are underlined and highlighted  
11 in yellow. I've not put the subheadings that are  
12 listed on these just for space here.  
13 So we'll move on, and what I want to do right now is  
14 just give a little bit of background information on the  
15 vaccine efficacy that we include in the current  
16 recommendations that support some of our later  
17 recommendations regarding re-vaccination intervals.  
18 We have previous epidemiological data that suggests  
19 that vaccination contains a high level of protection  
20 against smallpox at least for about five years  
21 following initial or their very first primary

1 vaccination. And at that level of protection, even  
2 though it decreases up to 10 years following a primary  
3 vaccination, it does remain substantial during that  
4 time period. And we also know that antibody levels for  
5 people that have received more than one dose or that  
6 have received a booster dose of smallpox vaccine  
7 maintain high levels of neutralizing antibodies for  
8 periods of even longer than 10 years.

9 Now, though we don't know the exact level of antibody  
10 that's protective against smallpox or vaccinia  
11 infections, we do know that in studies by Cherry  
12 [phonetic] and others in 1977, that over 95 percent of  
13 persons that are successfully vaccinated for the first  
14 time and that's using a vaccine take or skin take as an  
15 indication of successful vaccination, that those people  
16 have a neutralizing antibody of greater than or equal  
17 to one to 10 and they seem to be highly protected for  
18 up to five years. And we also see that this high-  
19 neutralizing antibody titer lasts for up to 20 years in  
20 three-fourths of the people that receive a second  
21 vaccination and even up to 30 years in persons that

1 have received up to three vaccinations, and that study  
2 was done by Lublin and Tennenbaum in 1990 and El-Ad and  
3 others in 1990.

4 I would also like to present a little bit of  
5 information on certain recombinant vaccinia and other  
6 pox viruses because this information is used to change  
7 the previous recommendation for vaccination of  
8 laboratorians that worked with some of these strains.  
9 Now, currently, from 1991, we have more information on  
10 several of the pox viruses that are currently used for  
11 vaccine vectors and we also know that several of the  
12 strains currently used as vectors are not capable of  
13 treating clinical infections and have been reduced to  
14 BSL 1 or 2 levels. In addition, certain pox viruses  
15 that are used now as vectors are associated with  
16 different species, such as the ALVAC and TROVAC  
17 strains, and aren't affected by antibodies induced by  
18 vaccinia vaccine and therefore, really, in all  
19 actuality, vaccination provides no benefit.  
20 So, currently, for our recommendations on non-emergency  
21 use or non-bioterrorism-related use of the vaccine --

1 just to talk about some specifics, I've laid it out  
2 here and I can show you this particular wording in the  
3 document. But currently, we recommend vaccination for  
4 laboratorians who handle cultures or animals  
5 contaminated or infected with the non-highly-attenuated  
6 vaccinia or other orthopoxvirus strains that infect  
7 humans, and I list the ones that we do not require  
8 vaccination for because of their highly -- high  
9 attenuation. We also offer vaccination but don't  
10 require it for health care workers whose contact with  
11 these non-highly-attenuated strains is limited to  
12 contaminated dressings, mainly because their risk of  
13 infection from this type of exposure is extremely low.

14 And in the interval between '91 and now, we've not had  
15 any reports of health care workers being infected in  
16 this manner by vaccines in -- or in vaccinia  
17 recombinant vaccine trials. We also do not require,  
18 which is a new change from the vaccination requirements  
19 in 1991 -- we do not require vaccination for personnel  
20 working with only MVA, TROVAC, NYVAC, OR ALVAC strains  
21 of the pox virus, and that's because these are highly

1 attenuated strains that do not cause meaningful  
2 infections in humans. They do not replicate very well  
3 in mammalian cells and, therefore, do not cause  
4 meaningful clinical infections. These recommendations  
5 have been -- had already been somewhat adopted by NIH  
6 in their laboratorians in that they don't require  
7 vaccination for laboratorians that working with MVA or  
8 NYVAC or the other two currently in their laboratory  
9 protocols.

10 Now, according to our available data on the persistence  
11 of neutralizing antibody and our epidemiologic data  
12 that I quoted earlier that we know from the previous  
13 smallpox era, persons working with non-highly-  
14 attenuated vaccinia viruses, recombinant viruses  
15 developed from these non-highly-attenuated viruses or  
16 other non-variola orthopoxviruses that infect humans,  
17 should be revaccinated at least every 10 years. And  
18 that's not changed from 1991. The interval was still  
19 10 years in 1991. The only thing that's changed is  
20 that we're specifying the types of viruses that we're  
21 working with, that they should be vaccinated for. And

1 in order to assure a higher level of protection against  
2 more virulent, non-variola orthopoxviruses, such as  
3 monkeypox, vaccination every three years may be  
4 considered. And that was actually a new recommendation  
5 brought forth by several folks that have had experience  
6 with laboratories that manipulate monkeypox, and at the  
7 previous -- the previous protocols that they followed  
8 recommended vaccination every three years, and for some  
9 reason that was changed in '91 and nobody could recall  
10 exactly why that interval was changed for the specific  
11 laboratorians. So we gave them the option to vaccinate  
12 more frequently if they feel that they need a high  
13 level of protection because they're working with  
14 virulent strains of orthopoxviruses in those  
15 laboratories.

16 Now I'm going to move on to the list of the precautions  
17 and contraindications for routine or non-emergency use  
18 of the vaccine. This is essentially the same as those  
19 listed in the 1991 recommendations.

20 We have included some additional information on  
21 immunosuppressive conditions to -- that we've added to

1 the altered immunocompetence subsection, and that  
2 includes specific information on the dose of high-dose  
3 steroids that we could consider to present an  
4 immunosuppressive condition, as well as the addition of  
5 transplant recipients in the listing of  
6 immunosuppressive conditions that we would recommend  
7 avoiding vaccination in. And we also added a statement  
8 that vaccination of infants and children is not  
9 indicated for routine non-emergency uses since we  
10 specifically address the group when we talk about  
11 emergency use or bioterrorism-type use on the vaccine,  
12 and that's just so we can contrast and compare the two  
13 groups.

14 In addition, we added a table that outlines the  
15 vaccination contraindications during the routine non-  
16 emergency use and the contraindications during smallpox  
17 emergency use for easier reference for people that are  
18 referring to the document to compare and contrast when  
19 they would or would not vaccinate under certain  
20 conditions. And as you can see here, the things that  
21 are highlighted there, if those conditions are present

1 either in the vaccine recipient or a household contact,  
2 that would be for routine non-emergency use of the  
3 vaccine or contraindication to vaccinating that person,  
4 and that's not changed from 1991.

5 Moving onto treatment of complications that are --  
6 vaccinia immune globulin on page 8, these also are  
7 essentially the same as the 1991 statement. However,  
8 we did add a statement about the currently limited VIG  
9 supply and that its use should be reserved for  
10 treatment of complications with severe clinical  
11 manifestations. And this has sort of come up as we've  
12 talked with the drug service personnel who handle these  
13 calls from the clinicians because the majority of times  
14 when they call in with a complication from a  
15 vaccination, it's not necessarily severe enough to  
16 require VIG. And a lot of times, consultation and  
17 watching of the patient is done. So this just gives  
18 them a little bit more leeway to evaluate that when the  
19 call does come in, on whether or not VIG would be  
20 indicated and let's the clinician know that it's not  
21 necessarily always indicated for some of the conditions

1 that are talked about in a section.

2 We also added the table for easier reference in this  
3 section that lists vaccination, adverse reactions, and  
4 whether or not VIG is indicated or helpful or not,  
5 because sometimes that gets lost in the text of the  
6 document. We also added the statement that VIG is  
7 contraindicated in vaccinia keratitis because it may  
8 increase corneal scarring, and that's based on rabies  
9 studies. That was not necessarily brought out well in  
10 the 1991 recommendations.

11 What's new to these recommendations? That should be  
12 2001 recommendations, obviously. What's new to this is  
13 also a section that talks about any other treatment  
14 options for complications that may or may not be  
15 available. Currently, I'm going to skip to this and  
16 actually just go to the direct wording of this section.  
17 Currently, no antiviral compound has been FDA approved  
18 for use in treating vaccinia virus infections or other  
19 orthopoxvirus infections, including smallpox. Several  
20 antiviral compounds have been shown to have activity  
21 against vaccinia virus or other orthopoxviruses in

1 vitro in animal models. However, the safety and  
2 effectiveness of these compounds for treating vaccinia  
3 vaccination complications or other orthopoxvirus  
4 infections in humans is unknown. Questions remain  
5 regarding the effective dose and the timing and the  
6 length of administration of the antiviral compounds.  
7 There's insufficient information currently to allow the  
8 recommendation of any antiviral compound to treat post-  
9 vaccination complications or orthopoxvirus infections  
10 including smallpox. However, additional information  
11 may become available in the future and health care  
12 providers should consult CDC to obtain up-to-date  
13 information regarding treatment options for smallpox  
14 vaccination complications.

15 It was the thoughts of the working group that currently  
16 the studies that are out there and the information that  
17 we do have do not lend us to the ability to make  
18 specific useful recommendations to clinicians regarding  
19 the use of these antiviral compounds, but the Committee  
20 also understands -- the working group also understands  
21 that there's currently more active research in this

1 area and that additional information may become  
2 available in the future before recommendations are  
3 revised. And this encourages the clinician, if they  
4 have a concern or a question, to at least contact CDC  
5 to talk about whether or not there is additional  
6 information or any more specific recommendations could  
7 be given.

8 Moving onto the section on prevention of contact  
9 transmission, page 9. Most of these changes were based  
10 on inquiries received by the NCID Drug Services  
11 following the 1991 recommendations, inquiries from  
12 clinicians or research -- primary investigators that  
13 had to vaccinate their laboratorians on certain aspects  
14 after vaccination and care of the site.

15 Basically, this section gives guidance on care of the  
16 site, which emphasizes careful hand-washing as one of  
17 the most important things to prevent auto-inoculation  
18 from the site to another area of the body or to someone  
19 else. We did leave them -- give them the option to  
20 leave the vaccination site uncovered or to cover with a  
21 porous bandage, and that will bring -- we will bring

1 that out and emphasize more that if they leave it  
2 uncovered, they certainly need to maintain strict hand-  
3 washing control. And that was brought out mainly  
4 because in the past the site was never covered and some  
5 people have experienced more maceration when they've  
6 done the covering. And there was confusion about how  
7 to cover it, how long to cover it, and things like  
8 that. So we allow them the option of keeping that site  
9 uncovered with a bandage as long as they use very  
10 careful infection control measures of just hand-  
11 washing.

12 They are also told to keep the site dry in general with  
13 not putting any salves or ointments on the site, but  
14 they may bathe normally, and lots of questions came up  
15 on -- The previous recommendation said keep it dry, and  
16 lots of people called and said, "Well, can I take a  
17 bath?" So we kind of gave them a little more guidance  
18 with that.

19 Then we also have some guidance on how to dispose of  
20 contaminated materials, bandages, that are left on the  
21 site and care of clothing or cloth materials that come

1 into contact with the site. There were lots of  
2 questions regarding whether or not the shirt I was  
3 wearing, can it affect my wife if she's going to wash  
4 it, or how should we make sure that it doesn't contain  
5 any virus and infect anybody.

6 Then one thing that is different from previous  
7 recommendations, the previous recommendation did not  
8 have any restrictions on health care that were recently  
9 vaccinated on their care of patients or even care of  
10 immunosuppressed patients. And the working group felt  
11 that that actually should be addressed a little more  
12 closely with these recommendations, and that if it's  
13 possible, recently-vaccinated health care workers  
14 should avoid contact or working with unvaccinated  
15 patients to minimize the risk of nosocomial  
16 transmission, especially those patients with  
17 immunodeficiencies until the site is no longer  
18 infectious. But realizing that sometimes there may be  
19 not an option and a contact may be unavoidable, that  
20 they should wear a dressing and minimize the potential  
21 contact -- to minimize the potential contact

1 transmission to patients, and they might consider a  
2 more occlusive dressing that has been outlined in the  
3 recommendations that was also contained in the 1991  
4 recommendations.

5 Added back to the 2001 recommendations are more  
6 specific information on site of vaccination, method of  
7 vaccination, and evaluation of the vaccine site. These  
8 were brought back from previous ACIP recommendations  
9 that were dropped in the most recent recommendations.

10 This information was given in the recommendations  
11 previously when they were considering vaccination for  
12 protection against smallpox more so than what it is  
13 been considered in recent times. And the working group  
14 felt that this information would provide useful  
15 guidance in both non-emergency use situations, as well  
16 as emergency vaccine use situations. So we brought  
17 back some of that information. And you can see here  
18 where the information was obtained, and the majority of  
19 the time, the information was obtained from either WHO  
20 documents or previous ACIP recommendations as  
21 previously accepted techniques or evaluation of the

1 site.

2 Now, moving onto the section that is completely new in  
3 the 2001 recommendations is the smallpox vaccine for  
4 bioterrorism preparedness or the use of smallpox  
5 vaccine for bioterrorism preparedness. And in this  
6 overall section, we include an introductory statement  
7 on why these recommendations were developed and  
8 included in the current recommendations. And some sort  
9 of illusion to, you know, even though we know that the  
10 risk of smallpox is extremely low, there is concern.  
11 And then as being good stewards of public health, the  
12 ACIP has gone in to include some recommendations along  
13 these lines should this event ever occur. So this  
14 could be a useful guidance for clinicians.

15 We also put back in a surveillance section which was  
16 adapted from previous ACIP recommendations. We  
17 reintroduced this into the current document to provide  
18 guidance on reporting of suspected cases and initial  
19 measures for infection control for a quick reference  
20 for the clinician.

21 Now, moving into specific wording for pre-release

1 vaccine use recommendations, as you can see here, it's  
2 not currently recommended. Now, if things were to  
3 change with the higher risk groups or change with the  
4 actual risk of smallpox occurring, then pre-vaccination  
5 may be indicated for certain groups that would be at  
6 definite high risk during a release situation, and I'll  
7 talk about the specific wording in that section.

8 And it goes: At the present time, the likelihood of  
9 smallpox occurring as a result of a deliberate release  
10 by terrorists is considered to be very low and the  
11 population at risk for such an exposure cannot be  
12 determined. And that goes along with some of the  
13 similar recommendations in the anthrax vaccine  
14 recommendations.

15 Therefore, pre-exposure vaccination is currently not  
16 recommended for any groups other than laboratory or  
17 medical personnel working with non-highly-attenuated  
18 orthopoxviruses, and it refers you back to the section  
19 where those initial recommendations are made.

20 If the potential for an intentional release of smallpox  
21 virus increases at a later time, pre-exposure

1 vaccination may become indicated for selected groups,  
2 and it lists some of those groups, who would have an  
3 identified higher risk of exposure because of work-  
4 related contact with smallpox patients or infectious  
5 materials.

6 The working group felt that that was an important point  
7 to bring out, that currently the risk does not warrant  
8 vaccination, but in the future, if we have additional  
9 information or different things come to light that the  
10 risk versus benefits of pre-exposure vaccination may  
11 actually lean back towards the pre-vaccination  
12 recommended, whereas, currently they do not.

13 Moving onto the post-release vaccination  
14 recommendations on pages 12 through 13, currently the  
15 groups -- the working group actually approached this as  
16 saying, you know, there are probably lots of groups  
17 that would think they would want or need a vaccination  
18 in a post-release, and there probably are lots of other  
19 groups that this might be expanded to include.

20 However, realizing that it's much easier to expand your  
21 recommendations than to contract them, the working

1 group decided to focus on groups that they knew had a  
2 definite need for vaccination because of their high  
3 risk of infection due to exposure or potential  
4 exposure. And that's kind of where they approached  
5 these recommendations, realizing that public health  
6 officials or other officials may decide to expand that  
7 later on but, again, it's much easier to expand and  
8 then contract recommendations for groups -- for  
9 vaccination.

10 So working within those guidelines, if smallpox were to  
11 be released in an aerosol setting as one of the  
12 possibilities, persons that were exposed to that  
13 initial release would obviously be indicated for  
14 vaccination. People were face-to-face household or  
15 close-proximity contacts to smallpox cases, or probable  
16 cases, would have an indication for vaccination. Any  
17 personnel that's been designated to be involved in  
18 direct medical care, public health evaluation, or  
19 transportation of potential smallpox patients, if they  
20 haven't already come into contact and fallen into the  
21 second group, if they are designated for continued

1 activities, they should receive vaccination, as well as  
2 laboratory personnel that would be involved in  
3 collecting or handling specimens from potential cases.  
4 Then again, also, persons with a high likelihood of  
5 contact with contaminated materials, and within the  
6 recommendations, we go through and discuss in more  
7 detail who those might be and specifically talk about  
8 if a certain facility was designated to care for an  
9 evaluate smallpox patients, personnel that might be  
10 required to handle laundry or process things like that,  
11 that would have a high risk of infection from handling  
12 those materials would also require vaccination under  
13 these types of guidelines. And it's brought out within  
14 those recommendations that -- when we talk about  
15 pregnant women or children, any of those that fall into  
16 this category, pregnant women or children, if they fall  
17 into a category where they have a high risk or had a  
18 high-risk exposure to smallpox, vaccination would be  
19 indicated even though, in the previous routine non-  
20 emergency use, it was contraindicated in those groups,  
21 and specifically indicated because those people also

1 have a higher risk of having a very severe smallpox  
2 infection. Therefore, sort of everything goes out the  
3 window when you come face to face with smallpox and  
4 just about everybody would be an indication for  
5 vaccination if they had high-risk exposure.

6 One of the groups that the working group sort of  
7 struggled with for inclusion in this -- or some of the  
8 other folks that are very important or would be very  
9 important in the overall response are public health,  
10 medical emergency response to a smallpox emergency  
11 because you're going to have a lot of people that want  
12 to have -- or want to be vaccinated or ask to be  
13 vaccinated, and we also again have to sort of narrow  
14 that down to the people that we know would require it  
15 because of the potential high risk of coming face to  
16 face with the virus and contracting the virus because  
17 of their responsibilities during a response.

18 Therefore, we put the one other group in here, that  
19 "persons with contraindications whose unhindered  
20 function is essential to response activities," and we  
21 used, for example, law enforcement personnel that were

1 assigned certain duties, "who have a reasonable risk of  
2 contact with smallpox patients and infectious materials  
3 during non-patient care activities," and then a couple  
4 of other examples for that, "should also be offered  
5 vaccination."

6 The one caveat that we put on this, as well as the  
7 caveat that we put on selecting health care workers to  
8 perform these duties is that if you're dealing with  
9 somebody that's not had a contact yet, but you want to  
10 designate them to do duties, you need to select people  
11 that don't have contraindications to be voluntarily  
12 vaccinated for those duties and the other folks should  
13 be reassigned to duties that don't put them at risk for  
14 contact.

15 And then finally, public health officials need to  
16 evaluate the potential for aerosol spread in the  
17 hospital setting because there have been obviously a  
18 high level of transmission in hospital previously --  
19 reported in previous hospital settings, smallpox  
20 outbreaks, and that potential vaccination of non-direct  
21 hospital contacts will have to be evaluated by public

1 health personnel.

2 Moving on to talking specifically about the  
3 contraindications to vaccination during a smallpox  
4 emergency, contrasting this with the non-emergency use  
5 contraindications, the working group felt and it's been  
6 stated in previous ACIP recommendations during  
7 smallpox, that there are absolutely no  
8 contraindications to vaccination of individuals with a  
9 definite high-risk exposure, and that's specifically  
10 because their risk of having a very severe infection of  
11 a smallpox is higher as well their potential risk for  
12 having an adverse reaction to the vaccine.

13 When the level of exposure is unclear, careful  
14 assessment of the potential risk versus benefits in  
15 vaccination must be done, and you have to weigh that  
16 when you're looking at somebody that might have a  
17 potential contraindication and you're not clear what  
18 their exposure risk was. You have to sit down and  
19 weigh that individual in between the clinician, the  
20 public health personnel, as well as the patient.

21 I'll go quickly through these because these are some

1 additional press release vaccination recommendations  
2 that the working group thought would be important to  
3 include to give some guidance to hospital and medical  
4 personnel, as well as public health personnel during a  
5 smallpox emergency, so to speak. I alluded to this  
6 earlier that we would ask people to utilize personnel  
7 without contraindications to vaccination for all  
8 activities that would put them at risk for contact with  
9 smallpox if they haven't already been that way. And if  
10 they do have contraindications, to put them in  
11 positions where they would not come into contact or be  
12 at risk for an exposure to the smallpox virus at that  
13 point and that, potentially, if you have them  
14 available, to select previously vaccinated personnel,  
15 people that have had childhood or other vaccinations --  
16 smallpox vaccination for one reason or another, a  
17 previous laboratorian or whatever, for patient contact  
18 activities early in the outbreak. In other words, to  
19 vaccinate them and to utilize them early in the  
20 outbreak because they may potentially have a higher  
21 rise in their protective antibody titers than somebody

1 that's receiving their very first vaccination.  
2 And realizing that even though smallpox vaccine appears  
3 to be very effective in at least modifying the disease  
4 or potentially even preventing a disease given two to  
5 three days after exposure, realizing that potential,  
6 that it seems prudent to have personnel utilize other  
7 precautions, protective precautions, even after vaccine  
8 until they've had a demonstrated vaccine take, because  
9 not all personnel might have a vaccine take. And to  
10 continue their exposure without some sort of protection  
11 until you know that they've had a vaccine take might be  
12 -- might be a little bit remiss. So they should  
13 utilize other precautions until they know they are  
14 protected by vaccination. Even after that, they need  
15 to continue standard contact precautions to protect  
16 against exposure to other infectious agents that are  
17 still floating out there that we deal with on a day-to-  
18 day basis, and potentially to prevent transmission of  
19 the virus to someone else. In other words, they're  
20 going to wear protective clothing while they're in  
21 fomite contact with these patients, remove that

1 clothing, and go -- before they have contact with other  
2 non-immune, non-vaccinated individuals so they don't  
3 transmit the virus on contaminated clothing to other  
4 patients or other people that have not been vaccinated.  
5 We have one -- This sort of goes along with what we  
6 talked about with the VIG statement. This sort of  
7 approaches that the use of VIG in a prophylactic manner  
8 -- because it has been used as a prophylaxis when  
9 you've had to vaccinate people that have  
10 contraindications, and the working group felt that this  
11 was an important statement to put in there to help  
12 guide -- or to let people know how VIG will probably be  
13 utilized at the current levels of VIG during a smallpox  
14 outbreak. And that should vaccination with individuals  
15 with contraindications be required because of exposure  
16 to smallpox virus, current stores of VIG are not  
17 sufficient to allow for it prophylactic use as  
18 vaccination. Because of the limited stores of VIG, its  
19 use in such a scenario should be reserved for  
20 complications that are considered severe and life-  
21 threatening. If additional VIG becomes available in

1 the future in sufficient quantities to allow for its  
2 prophylactic use, VIG should be administered at a dose  
3 of .3 milligrams per kilogram, along with vaccinia  
4 vaccine in persons with contraindications who require  
5 vaccination. And that allows for if -- you know, if  
6 more VIG becomes available in the future, there are at  
7 least some dosing recommendations there for people and  
8 guidance on how they would use it. But knowing  
9 currently, it would not be used in that manner because  
10 there's not enough available to allow for that.

11 The last few additional infection control measures, we  
12 talk about the strict respiratory isolation or  
13 potential cases in the hospital unless the entire  
14 facility is designated to care for smallpox patients  
15 only, and everybody within the facility are going in  
16 and out of the facility have been vaccinated. There's  
17 some guidance on decontamination of reasonable bedding  
18 and clothing, which goes along with some of the  
19 guidance that was given in the care of the vaccine site  
20 section.

21 There is an option of non-hospital isolation out there

1 that should be made -- utilized with the public health  
2 input, if that is so chosen by public health, but that  
3 some guidance on what that isolation would require  
4 includes that it has to be to a sufficient degree to  
5 prevent the spread of smallpox to other people within  
6 the surrounding area and that would include not having  
7 people isolated in places that have shared ventilation  
8 or heating or air conditioning units, and that making  
9 sure that access to the place where they are isolated,  
10 it can be limited to vaccinated individuals so you  
11 don't have people going in and out that you can't keep  
12 track of or can't vaccinate.

13 Then, finally, surveillance and contacts with isolation  
14 is a must to -- surveillance of the contacts with  
15 isolation if you were to develop a incubation period is  
16 another thing to indicate to medical personnel, that  
17 these people have to be tracked, these people have to  
18 be notified and watched to a certain degree, or at  
19 least told what they need to watch for and who they  
20 need to report to.

21 The final aspect is the research agenda that we

1       approached, sitting down and thinking about some of the  
2       things that have to be sort of approached along these  
3       preparedness efforts, and that is, first and foremost,  
4       the development of a new vaccinia vaccine because we  
5       need additional quantities of vaccine to augment the  
6       current stores that we do have and replace any out-of-  
7       date vaccine that is currently there. The viruses will  
8       have to be approached in a FDA-approved cell culture  
9       substrate and that any new vaccine produced has to be  
10      evaluated for its safety and efficacy in animal models,  
11      serologic and cell immunity models, and evaluated on  
12      its cutaneous indicators of successful vaccinations  
13      since that's most likely going to be the thing that  
14      would be utilized in an emergency, is a visual clue  
15      that vaccine has taken and it's effective and that  
16      immunity has developed.

17      And we also, obviously, with the VIG shortage, have to  
18      look towards alternatives to VIG for adverse vaccine  
19      reaction treatment, and that includes looking at  
20      antivirals, which is currently ongoing for activity  
21      against vaccinia virus and utilizing animal models and

1 in vitro assays to evaluate this, as well as developing  
2 and evaluating monoclonal antibodies potentially  
3 against vaccinia virus and evaluating those on their  
4 effectiveness.

5 And that's it. I would like to thank the Bioterrorism  
6 Working Group members. I apologize for any omissions  
7 or misspellings because I can't spell or remember. So  
8 there you go.

9 I'll open this to any questions.

10 **DR. MODLIN:** I would like to thank Dr. Rotz, Dr. Helms,  
11 and the other members of the working group for  
12 obviously their very thorough and thoughtful review of  
13 an important document. I think that the fact that this  
14 is a document that may very well only be used or pulled  
15 out in an emergency situation, in any many cases, means  
16 that it needs to be thorough, educational, and useful.

17 And I think, in my opinion, you've achieved this with  
18 this. Again, my congratulations.

19 We have discussed this in some detail at the October  
20 meeting and the plan was to try to achieve some  
21 closure, but we certainly do have some time for

1 comments and questions. Lucy?

2 **DR. TOMPKINS:** Lucy Tompkins.

3 I just mentioned to Chuck that I thought one thing that  
4 would be very helpful for some organization to do would  
5 be to provide photographs of lesions of smallpox to all  
6 emergency departments in the United States, because the  
7 point of first care is going to likely be the EDM --  
8 virtually, none of us have ever seen a case of  
9 smallpox. So it was pointed out to me that it's not  
10 possible to include that in this document, but I do  
11 think we should support such an effort by whatever  
12 organization. Our own organization, Infectious  
13 Diseases Society of America could probably make all of  
14 this available to i.d. clinicians, but, of course,  
15 we're not going to be the first ones to see the lesion  
16 either.

17 **DR. MODLIN:** I wonder if this might be included in some  
18 sort of public -- or public information or in an  
19 emergency information campaign that deals not only with  
20 smallpox but, perhaps, media-style widening of anthrax  
21 and other issues --

1       **DR. TOMPKINS:** Yes, exactly.

2       **DR. MODLIN:** -- that are similar that would be  
3       important to --

4       **DR. HELMS:** It's interesting. CDC has a wonderful  
5       slide collection that's available on its bioterrorism  
6       web site, in some connection of emergency room with its  
7       availability with the wonderful quick way for an  
8       emergency room to get some information quickly or a  
9       slide or two.

10      **DR. MODLIN:** Paul?

11      **DR. OFFIT:** There was this fairly long period of time  
12      when the CDC was unable to provide to laboratory  
13      workers that worked with these non-highly-attenuated  
14      vaccinia virus recombinant vaccine. Has that situation  
15      been resolved, and if so, can we expect it will stay  
16      resolved?

17      **DR. ROTZ:** Yes. That situation was -- because of the  
18      questions regarding the current VIG supply and whether  
19      or not it could be used, because it had changed in  
20      color and it had to undergo some toxicity testing to  
21      make sure that the color change wasn't anything that

1 affected -- the made it toxic or affected how well it  
2 would work in a situation. So we couldn't release any  
3 vaccine until that was resolved. And currently, the  
4 VIG is under an investigational new drug type tag and  
5 could be potentially used if needed. If John Beecher  
6 is here, he can tell me for sure, but that was my last  
7 understanding.

8 **DR. MODLIN:** Dr. Siegel?

9 **DR. SIEGEL:** I just have a couple of comments.

10 Since the Commission is requiring institutions to have  
11 a bioterrorism plan, this kind of document should be  
12 included in an institution's bioterrorism plan however  
13 they're doing that.

14 A couple of terminology things in the infection control  
15 section. I think (inaudible) respiratory isolation in  
16 airborne precautions. And with hand-washing, you need  
17 to address hand hygiene, if the hand hygiene products  
18 are incorporated to say that.

19 **DR. ROTZ:** Thank you.

20 **DR. MODLIN:** Rick Zimmerman?

21 **DR. ZIMMERMAN:** Hi. Rick Zimmerman. I agree this is an

1 excellent document and congratulations on it.

2 One of the questions I had dealt with on page 6 that  
3 issue of looking -- paying special attention to a  
4 history of eczema. And I wondered if a little  
5 clarification might be helpful. Probably almost every  
6 one of us in this room who's, in part of their career,  
7 done surgical scrubs has gotten a little hand  
8 dermatitis or dyshidrotic eczema as a result or anybody  
9 who has done a number of dishes. And if one were to go  
10 to searching to that level of detail, I'm not sure  
11 there's hardly anybody that hasn't had a history of  
12 dyshidrotic eczema --

13 **DR. ROTZ:** Right.

14 **DR. ZIMMERMAN:** -- and therefore would not be a  
15 candidate if you want to push it to the limit. So I  
16 wondered if a little wording to clarify that so it's  
17 not over-interpreted.

18 **DR. ROTZ:** We actually sort of mulled this over in our  
19 working group, and it was difficult because we based  
20 some of these on the 1968 national and ten-state survey  
21 done by Michael Lane at CDC, looking at reporting of

1 adverse events. And obviously, the national survey was  
2 based on looking at places where VIG was requested, and  
3 that's how they sort of got their database for  
4 reporting. Whereas, the ten-state survey sent out  
5 questionnaires to clinicians in 10 states and asked  
6 them when giving vaccine, did you see x, x, or x, and  
7 rates were obviously reported higher in the ten-state  
8 survey. When they talk about vaccinia -- when they  
9 talked about, they actually had several instances where  
10 people did not, because that was obviously a  
11 contraindication back then also, where people had a  
12 history of it but didn't have active eczema but were  
13 given the vaccine and did develop that. So there was  
14 the question there. What we don't know is how severe  
15 their past history was and that's the problem that we  
16 came up against in saying, well, what degree do we call  
17 when we talk about history of eczema. Is it childhood  
18 where you haven't had it for 20 years, is it a mild  
19 case? I guess we'll just have to leave some of that up  
20 to the risk-versus-benefit clinician-patient  
21 evaluation, and we can try to clarify that a little bit

1 more. I don't just don't know that we'll get to  
2 something that will be very useful because the  
3 information is just not there.

4 **DR. MODLIN:** Dr. Deseda?

5 **DR. DESEDA:** Dr. Deseda from Puerto Rico.

6 Maybe it was discussed in October, but I'm just curious  
7 if there's any possibility that the available vaccine  
8 may have some prior contamination because it's made  
9 from cow serum?

10 **DR. ROTZ:** I'm sorry?

11 **DR. MODLIN:** Pre-on contamination from bovine-derived -

12 -

13 **DR. DESEDA:** That's what I mean.

14 **DR. MODLIN:** That was a time-limited issue, as I  
15 recall, wasn't it, Karen, with respect to --

16 **DR. ROTZ:** That I don't know.

17 **DR. MIDTHUN:** I think that the main concern has been  
18 for product from 1980 and after.

19 **DR. MODLIN:** Yes, Dr. Diniega?

20 **DR. DINIEGA:** Dr. Rotz, Dr. Gravenstein [phonetic] was  
21 a member of the work group, I

1 think --

2 **DR. ROTZ:** Uh-huh (affirmative).

3 **DR. DINIEGA:** -- and we had -- he had forwarded some  
4 comments --

5 **DR. ROTZ:** Right. He forwarded them after I had  
6 already sent this to the working group. They will be  
7 incorporated before it goes to press.

8 **DR. DINIEGA:** And in the pre-release -- or the  
9 bioterrorism preparedness part of it, it has reference  
10 to the military. In the anthrax immunization --  
11 anthrax statement, there's a very nice sentence in  
12 there for use of anthrax vaccine as a pre-release  
13 vaccination that included the military populations and  
14 other select populations based on calculable risks.  
15 That may be a good thing. I would like to recommend  
16 that we add that to the pre-release.

17 **DR. ROTZ:** We've had it in and we've had it out. It's  
18 been kind of -- We sort of mulled over that. We had it  
19 in initially, and then after several comments, we took  
20 it out and evaluated that, but I can talk to Dr.  
21 Gravenstein and we can come to some sort of conclusion

1 on what to add with that if the working group is in  
2 agreement that that military population should be taken  
3 out and mentioned specifically.

4 **DR. MODLIN:** Dr. Katz?

5 **DR. KATZ:** Lisa, on both page 7 and in table 4, under  
6 immunocompetence, altered immunocompetence, you use  
7 agammaglobulinemia as an example. That's incorrect.  
8 It's cellular immune deficiencies. It's not  
9 agammaglobulinemia that renders you more susceptible.  
10 So I would try to strike agammaglobulinemia and where  
11 you say altered immunocompetence, I would say altered  
12 cellular immunocompetence.

13 **DR. ROTZ:** I think -- I've seen it both ways as far as  
14 -- when you talk about vaccinia necrosum, there was a  
15 nice table in the Red Book that describes sort of the  
16 two different types of conditions that could lead to  
17 that, and one is actually VIG -- helped by VIG, where  
18 the other is not, and when it's purely cellular  
19 immunodeficiency problem, VIG does not help. But when  
20 it is a condition where the production of antibodies is  
21 hindered by some other overwhelming infection that

1           could be -- that could be fixed -- in other words, they  
2           do have some cellular immunity -- that VIG would  
3           actually be helpful. Now, whether or not you could  
4           ever make that distinction, I don't know, but I can  
5           certainly change that. I think that was just taken  
6           straight from the 1991 recommendations, but we can  
7           certainly change that.

8           **DR. MODLIN:** Sam, is that a satisfactory answer? It  
9           sounds like you may have been on slightly different  
10          wavelengths here.

11          **DR. KATZ:** Well, I think that part of it, of course,  
12          goes back to so much of this earlier work being done  
13          before people distinguished between humero and cellular  
14          deficiencies. I think the vaccinia necrose and  
15          gangrenosum patients were SKIDS patients or patients  
16          who one way or another had markedly depressed cellular  
17          immunity as with even the Armed Forces HIV patients.  
18          It's not an antibody. It's cellular response. But I  
19          don't want to quibble about it.

20          **DR. MODLIN:** Dr. Brenau [phonetic]?

21          **DR. BRENAU:** I'd like to offer another suggestion, and

1 that is, if you ever get into a situation where you  
2 need to vaccinate, that pictures of what the  
3 vaccination site is supposed to look like be sent out  
4 with the vaccine, because I'm sure most people who are  
5 going to be doing this have never seen a vaccine  
6 reaction.

7 **DR. ROTZ:** Right. We had wanted to include that but,  
8 obviously, the MMWR doesn't include pictures. We had  
9 explored all these options about including pictures of  
10 the vaccine site as well as some pictures of smallpox  
11 for the different stages, but we can't do that in this  
12 document. CDC is developing sort of a "how to  
13 vaccinate against smallpox" video that will include  
14 pictures at the end of it, what the vaccine site should  
15 look like and the progression of how it looks over two  
16 weeks.

17 **DR. MODLIN:** Would it be possible to refer to a web  
18 site address in the actual document that would contain  
19 nice photographs?

20 **DR. ROTZ:** I don't know that there's --

21 **DR. MODLIN:** That might be an appropriate way to deal

1 with that issue.

2 **DR. ROTZ:** I don't know if there's one that's been set  
3 up specifically yet, but we can look at maybe including  
4 that on our bioterrorism web site.

5 **UNIDENTIFIED SPEAKER:** There will be by the time this  
6 is published.

7 **DR. MODLIN:** I just had one other comment, and that's  
8 on the use of VIG on page 8. In the interest of this  
9 being an educational document, we don't have much  
10 information here that actually documents the data  
11 regarding the efficacy of VIG. We just say it's  
12 effective in these settings. And I wonder if, at the  
13 very least, be helpful to refer to whatever evidence  
14 there is that it is effective in those situations.  
15 That would be a nice addition to the statement.  
16 Are there other comments?

17 (NO RESPONSE)

18 **DR. MODLIN:** Terrific. I will entertain a motion that  
19 the Committee accept the smallpox document that has  
20 been presented by the working group.

21 **DR. TOMPKINS:** So moved.

1       **DR. MODLIN:** It's been seconded by Dr. Brooks, and  
2       subsequently so moved by Dr. Tompkins. Dixie, I  
3       assume, since there's no one currently manufacturing  
4       smallpox vaccine, that we have no one that could  
5       conceivably be conflicted. Is that the case?

6       **DR. SNIDER:** Is there anybody planning to manufacture -  
7       - I would make the same assumption.

8       **DR. MODLIN:** Okay. Assuming that, those in favor of  
9       the motion, if they would raise their hands.

10                   (SHOW OF HANDS)

11       **DR. MODLIN:** Dr. Deseda, Dr. Johnson, Dr. Levin, Dr.  
12       Smith, Dr. Offit, Dr. Rennels, Dr. Tompkins, Dr. Helms,  
13       Dr. Word, Dr. Clover, Dr. Brooks, and Dr. Modlin.  
14       There are none opposed and none abstained. So the  
15       motion passes again.

16       Congratulations and thanks for a job well done. We  
17       will meet up again at 2:00 sharp. Thank you.

18                   (LUNCH RECESS FROM 12:44 P.M. TO 2:03 P.M.)

19       **DR. MODLIN:** Good afternoon. Just a couple of quick  
20       housekeeping announcements. This is the last chance  
21       for those of you who plan to go to the dinner tonight

1 to get your reservation and your dinner preferences in  
2 to either Gloria or Latarsha. We will -- There will be  
3 some minor adjustments to this afternoon's schedule.  
4 Perhaps most important will be that Dr. Brooks'  
5 presentation on dose optimization for H. flu will be  
6 put off until tomorrow morning, and we'll wait for a  
7 few minutes just to decide exactly what the best time  
8 would be.

9 Secondly, tomorrow morning I understand that the two  
10 topics after the break in the morning on review of the  
11 Hep B safety studies and the general recommendations  
12 are going to be reversed in order, in part because Dr.  
13 Margolis may not be able to be here at the earlier time  
14 slot.

15 With that in mind, we'll go ahead with this afternoon's  
16 agenda and we will start off with the very important  
17 topic of an update on the issues regarding tetanus  
18 diphtheria and DTaP vaccine supply. Melinda will be  
19 introducing the topic.

20 **DR. WHARTON:** Thank you. I just wanted to provide a  
21 brief overview of this afternoon's session on Td and

1 DTaP vaccine issues.

2 We're going to start with an overview of the supply  
3 situation by Dean Mason of the Immunization Services  
4 Division of the National Immunization Program. Then we  
5 have invited the manufacturers to make whatever  
6 comments they would like. There will then be some  
7 opportunity for questions from the Committee and  
8 others. Then Dr. Lynn Zanardi from the Epidemiology  
9 and Surveillance Division will review for you the  
10 recommendations on use of Td in the face of limited  
11 supply that were published in the MMWR in November.  
12 And Kris Bisgard will then go over some options for how  
13 to deal with a DTaP shortage should we find ourselves  
14 in that situation in the next few months. And we'll  
15 looking for some guidance from the Committee on that.  
16 So with that as an overview, the first speaker is Dean  
17 Mason.

18 **MR. MASON:** Good afternoon. I think I also said, good  
19 morning. I wanted to bring to you some information  
20 that hopefully you'll find relevant and interesting  
21 pertaining to the availability of DTaP vaccine and

1 other tetanus- and diphtheria-containing products. The  
2 purpose for this presentation is to update you on the  
3 present supply situation, provide some information on  
4 what has led to the present circumstances, and offer  
5 some predictions about supply for the remainder of the  
6 year.

7 This problem has actually been building since early  
8 1999 for products other than DTaP. Two companies  
9 informed CDC of supply -- if you want to say  
10 production/supply -- but supply problems in June of  
11 2000. Supply had been quite sporadic from one company  
12 and marketshare very low for the other company for most  
13 of the year 2000. In December, Wyeth-Lederle announced  
14 a corporate decision to withdraw from the DTaP, the Td,  
15 the tetanus toxoid, and the DT pediatric market  
16 entirely. Wyeth-Lederle is a major player, or has been  
17 a major player, in DTaP, even more so in Td and tetanus  
18 toxoid. In terms of the entire marketshare for 1999,  
19 Wyeth-Lederle had about 32 percent of the Td and  
20 tetanus toxoid products on the market, public and  
21 private and 19 percent of the entire market year 2000.

1 In terms of market trends or purchase trends through  
2 CDC's contracts, the two biggest players, in  
3 retrospect, have been Aventis Pasteur characterized in  
4 red, 5.6 million doses of DTaP bought through the CDC  
5 contract, 1997, for Aventis. They have held fairly  
6 steadily, their low point being 4 million doses  
7 calendar year 2000. This is not proprietary because  
8 it's public information of purchases through the CDC  
9 contract. It does not reflect the United States  
10 marketshare. That information the companies do hold  
11 rather closely for entire sales, but given the fact  
12 that we have at least the majority marketshare, our  
13 trends will be significant.

14 Glaxo SmithKline, 1997 began -- or at least its first  
15 year, analyzed at 1.6 million doses were purchased  
16 through our contract. You see this steady upward  
17 market gain by Glaxo SmithKline, resulting -- Indeed,  
18 for the first time in year 2000, they became the  
19 leading DTaP seller through CDC's contracts. I should  
20 mention, these are open and competitive contracts. The  
21 grantees have the choice of which products, which

1 manufacturers they will purchase. In some instances --  
2 in most instances, the states grant the providers  
3 choice, but that's not true in all cases.

4 The trend for Wyeth-Lederle in the green has been  
5 fairly consistent, 2.5, 2.5, and then, of course, in  
6 2000, with sporadic sales through our contracts due to  
7 lack of product availability, and there was a  
8 significant decline. And Baxter Hyland, formerly known  
9 as North American, has had, albeit a small but  
10 important share, because they obviously were starting  
11 to build base, and then decided in 2000 to withdraw, at  
12 least from the immediate future, from the United States  
13 market.

14 If you looked at this in a different way, in terms of  
15 marketshare, based on ordering history through CDC's  
16 contracts, the public health need for DTaP -- And I'm  
17 not referring to combination products here, only DTaP -  
18 - is between 8.3 -- is between 8.3 and 11.1 million  
19 doses annually. The loss of both Wyeth-Lederle and  
20 Baxter Hyland equates to a loss of about 2.9 million  
21 doses of DTaP vaccine per year or about 24 to 20

1 percent of the total CDC market. This does not  
2 consider private sector losses.

3 To give you an update on the current status of DTaP  
4 back orders through our system among the grantees, this  
5 is a fluid situation that changes on a daily basis.  
6 However, at the present time, through our ordering  
7 system -- Of course, all state orders come through the  
8 CDC system that are purchased through CDC contract --  
9 we have 53,000 and 110,500 doses that are over 30 days  
10 back order. So 42 projects are awaiting 163,500 doses.

11 Our contracts require that the manufacturers deliver  
12 within 15 days of order receipt from CDC. So these are  
13 all truly delinquent orders and reflect the fact that  
14 we are living hand-to-mouth on DTaP supply at the  
15 present time.

16 The under-14 -- excuse me, between 14 and 29 days, we  
17 have almost a half a million doses pending among 47  
18 projects. Under 14 days, which is still within  
19 compliance of our contract, we have an additional  
20 grantees. Of course, 32 projects are counted with  
21 pending orders in more than one time frame, that is,

1 they have more than one order in; 11 projects have only  
2 one order pending; and at this time, 20 of our projects  
3 have no orders pending.

4 The bottom line right now is that we 1,030,000 doses on  
5 DTaP on back order.

6 This provides you with a glimpse of the inventory  
7 levels in state depots or within the commercial  
8 distributor within the state's contract. We have seven  
9 projects in red that are reporting, as of February the  
10 6th -- Of course, this wouldn't be exactly true today,  
11 but it gives you an idea -- seven -- six projects  
12 reporting less than 7-day inventory of DTaP in their  
13 central depot. We have eight projects in blue that  
14 reported less than a 14-day inventory. We have 26  
15 projects with less than a 30-day inventory. And we had  
16 15 projects that had less than a 60-day inventory in  
17 green. And the purple are projects that are being  
18 selfish and hoarding DTaP vaccine. Not necessarily.  
19 Maybe they were just fortunate in getting their orders  
20 in. I'm sure they'll be willing to share with those  
21 states that have a less than 7-day inventory. Easy for

1 me to say.

2 I'll just skip this slide. This is the state-specific  
3 or grantee-specific table reflecting the status of  
4 current inventory as of February 6th.

5 The DTaP vaccine supply production estimates for 2001,  
6 what do we have to look forward to. The green bar  
7 characterizes the CDC contract purchases, 8.3 million.

8 Calendar year 2000, we purchased 10.4 million doses.

9 Please consider this provisional until we publish it.

10 The private sales, 6.8 in '97, 6.2 -- Fairly consistent  
11 figures here between public and privates sales; fairly  
12 consistent total sales of DTaP. The range in total  
13 sales -- I had mentioned our range in the public need -  
14 - was about 8.3 to 11.1. The total need for the United  
15 States, including our grantee -- our projects, which,  
16 of course, include Puerto Rico, the Virgin Islands, the  
17 Pacific Trust -- 15.1 million doses to 20.4 million,  
18 based on history, not necessarily what the true need  
19 is, but based on what ordering takes place. Frankly,  
20 ordering exceeds the birth cohort and birth need, and  
21 this has to do with pipeline inventory, multi-dose

1 vials. You serve one child, you need 10 or 15 doses of  
2 product. So we always have more out there than equates  
3 to one-to-one.

4 And finally, the important question is, how much do we  
5 think that the two remaining companies are going to  
6 produce in DTaP for calendar year 2001. And we  
7 appreciate the companies giving us information that in  
8 the past they would have considered proprietary. We  
9 don't break out the companies, but, in total, Aventis  
10 and Glaxo are predicting a production of between 21 and  
11 25 million doses of product. So you would say, what's  
12 the problem? If we're going to have this kind of  
13 supply, assuming all goes well, and this is our maximum  
14 need, is there an issue?

15 The problem, of course, is if this was a January to  
16 December scale, we are living up front rather  
17 dangerously. We may be caught up by the end of the  
18 year, but at the present time, we literally are waiting  
19 on FDA CBER lot releases. As soon as those releases  
20 are made, the companies are filling back orders.  
21 They're not getting ahead of the curve, in other words.

1           So this is the issue, is, can we continue to survive  
2 with it literally coming out of the factory line to the  
3 providers' offices at this time.

4           Of course, we can't just focus entirely on DTaP. The  
5 national distribution of all diphtheria and tetanus-  
6 containing products, except DTaP, needs to be analyzed.

7           The steady decline in total supply from 24.7 million  
8 doses of other diphtheria and tetanus-containing  
9 products down to the present, calendar year 2000,  
10 distribution of 15.7 million products is explained in  
11 large part by the replacement of DTP and DTP-  
12 combination vaccines with the DTaP product. However,  
13 it does not explain the decline -- I'm sorry. This  
14 explains the decline in terms of DTP, which is in red,  
15 and is now, of course, nonexistent. It contained  
16 thimerosal, and of course, the DTaP product was judged  
17 a superior product.

18           In the DTP/hib -- Because of the DTP being replaced by  
19 the acellular, this has also enjoyed a steady decline  
20 in the green, but it doesn't explain this drop right  
21 here in the Td. 16.1 million doses in 1998 down to

1 12.7 million in 2000, and this reflects the increasing  
2 pressure that one manufacturer has had in supplying,  
3 becoming basically the sole source or almost the sole  
4 source for tetanus supply in the United States. The  
5 maroon box or purple box is DTP pediatric, which is not  
6 so much of an issue right now. Clearly, the Td and the  
7 tetanus toxoid are issues.

8 So what's the current status? Only two DTaP  
9 manufacturers remain: Aventis Pasteur and Glaxo  
10 SmithKline. Aventis Pasteur is the sole  
11 manufacturer/supplier of DTaP/hib, DT, and tetanus  
12 toxoid. The University of Massachusetts Medical School  
13 produces a small amount of Td, mostly for state  
14 residents. It's my understanding that they have some  
15 ambitions to expand their production line and increase  
16 the amount of Td that they'll make available, not just  
17 to Massachusetts, but that is not an immediate ability.  
18 The Td national shortage is significant. The DTaP  
19 vaccine through CDC contracts are back-ordered. We've  
20 only had a few instances of spot shortages being  
21 reported to us to date, that is, literally doctors

1 turning children away for pediatric vaccines. We have  
2 had more instances of complaints about people being  
3 entirely out of tetanus toxoid or Td.

4 The actions that are being taken. Aventis Pasteur is  
5 screening Td orders, prioritizing shipments to  
6 hospitals, trauma centers, limiting amounts shipped. I  
7 believe that their basic policy is to limit maximum  
8 orders to 50 doses per week. They have a 24-hour hot  
9 line. They are interested in calls from people who are  
10 in dire need. Obviously, those caring for people with  
11 trauma or wound injuries are going to receive a higher  
12 priority than those who are receiving Td boosters at  
13 age 15 years with no other issues.

14 CDC has recommended the following to all states: that  
15 the states notify their providers to limit vaccine  
16 toxoid inventory to a 30-day supply -- We need to ask  
17 providers who are receiving public vaccine not to stock  
18 their refrigerators with 45-, 60-, 90-day supplies of  
19 product; state depots limit their inventory to less  
20 than a 45-day supply in response to the needs of their  
21 customer base. We will continue to monitor state

1 orders for DTaP. We'll allocate vaccine, if that  
2 becomes necessary and, of course, provide program  
3 guidance based on any recommendations that the ACIP  
4 chooses to make on this problem.

5 The outlook, Td shortages for remain for the next 10 to  
6 14 months at least. With timely production release of  
7 DTaP vaccine, there may be some delivery delays --  
8 There already are -- but overall supply, we believe,  
9 should be sufficient, though we can't guarantee that.  
10 DTaP supply issues will remain through this calendar  
11 year but should improve in the latter part of the year.

12 The ACIP, of course, will be reviewing this situation  
13 at this meeting and considering other recommendations.  
14 Thank you.

15 **DR. MODLIN:** Melinda, should we take questions for Dean  
16 while he's here, or what would be the --

17 **DR. WHARTON:** That's fine.

18 **DR. MODLIN:** Are there questions for Mr. Mason? Yes,  
19 Paul?

20 **DR. OFFIT:** Two quick questions.

21 Are the withdrawals of the Wyeth-Lederle and Baxter

1 Hyland vaccines permanent or do those companies have an  
2 interest in coming back into the market eventually?  
3 And the second part of this question is, with now fewer  
4 competitors in this market, does that mean that these  
5 vaccines are going to become more expensive in the  
6 short term?

7 **MR. MASON:** I think the first question -- I believe,  
8 Dr. Modlin, there's going to be some time set aside for  
9 each of the manufacturers to present on what their  
10 plans are for DTaP. So I won't speak to the ambitions  
11 of Baxter or Wyeth-Lederle.

12 Regarding pricing, we will begin a new contract April  
13 1st, and we are in the process of negotiating that,  
14 what we call, consolidated contract at this time. The  
15 manufacturers -- We have a unique provision in our  
16 contract that manufacturers can adjust their price  
17 every four months so long as they don't go above the  
18 original price of that contract period. So if the  
19 original price they bid to us for the next contract is,  
20 say, 12 dollars a dose -- and they can't go up above  
21 what their present price is until April 1, so you've

1 got a window frame there. But let's say they bid 12  
2 dollars a dose -- I'm just picking this out of my head  
3 -- they can -- on the next opportunity to change  
4 prices, they can go down to \$9.50, they can go down to  
5 six dollars, they can give it away, but they can't go  
6 above 12 dollars a dose. In terms of -- The companies  
7 really evaluate their marketshare and probably their  
8 production abilities, and that guides them, at least in  
9 small part, on what their pricing with CDC will be. Of  
10 course, we expect a discount above the -- above the  
11 price offered in the private sector, but at this time,  
12 it's difficult for us to predict what pricing will be.

13 **DR. MODLIN:** Paul, we may give you a chance to recycle  
14 your question in a minute or two.

15 Natalie?

16 **DR. SMITH:** Yes. A question about distribution of DTaP  
17 in the private sector. Do you have any sense of if  
18 there will be prioritization or limiting orders so that  
19 some private entities aren't stockpiling it?

20 **MR. MASON:** This may be something the manufacturers, in  
21 terms of their policies as to who they get the product

1 out to, in a prioritization manner, they might want to  
2 address. Our sense is that they try to give  
3 proportionate amounts to the public and private sector  
4 and they try to be responsive to individual  
5 circumstances.

6 **DR. MODLIN:** Myron, did you have a question? Okay.  
7 Further questions?

8 (NO RESPONSE)

9 **DR. MODLIN:** Dean, thanks very much.

10 **DR. WHARTON:** We had invited representatives of the  
11 manufacturers to make any additional comments they  
12 might wish to make. Dr. Howe, would someone like to  
13 speak for Glaxo SmithKline?

14 **DR. HOWE:** That would be me.

15 Thanks, Melinda. Barb Howe from Glaxo SmithKline. In  
16 terms of the supply of our DTaP infant product,  
17 Infanrix, the situation is very much the same as when  
18 we had these discussions around thimerosal last year,  
19 and that is that although we cannot supply the entire  
20 U.S. market for all five doses, we are able to supply  
21 the entire U.S. market for the three-dose primary

1 series. In other words, we have enough to supply a  
2 little bit over half the market.

3 I want to take the opportunity to say that we are  
4 committed to a DTaP supply in the U.S. and that DTaP  
5 vaccine is actually the cornerstone of our future  
6 pediatric combinations, as I think many of you are  
7 aware. I presented data on our combination DTaP, Hep  
8 B, inactivated polio vaccine I think it was a year ago  
9 at this meeting, and I'm happy to say that actually  
10 that product will be the subject of discussion at an  
11 upcoming FDA advisory committee meeting on March 7th,  
12 which is only two weeks from now. I mention that  
13 mostly as a measure of our commitment to DTaP-based  
14 products in the future for the U.S.

15 In terms of adult-type DT products, I thought I would  
16 mention that we do have reduced-antigen Td products, as  
17 well as a reduced-antigen diphtheria tetanus pertussis  
18 product licensed and in use outside the U.S. Neither  
19 of these products is licensed within the U.S., but we  
20 do have an active development plan for the reduced-  
21 antigen DT pertussis-containing vaccine for adult use.



1 Really, let me talk about the issues first and what's  
2 compounding some of the situations and then some of the  
3 things we're trying to do to remedy the problem and  
4 tell you when we might be out of that situation.

5 First of all, I think we can't underestimate what  
6 thimerosal did to the situation -- I think it has  
7 contributed to having a manufacturer get out of the  
8 marketplace -- and it also has significant impact on  
9 the way we produce our products. For example, tetanus  
10 is the limiting antigen that we have in the production  
11 of our D-and-T-containing products. That tetanus goes  
12 to Tripedia, and in the past year, it's gone to  
13 preservative-free Tripedia. It also goes to Td, it  
14 goes to pediatric DT, and it goes to tetanus toxoid.  
15 Those are all contributing factors. What we're trying  
16 to balance appropriately is the loss of the  
17 manufacturer versus where do we place our tetanus  
18 products, either Tripedia, Tripedia preservative-free,  
19 or in the adult Td products. Hopefully, we'll resolve  
20 that in the short term with the preservative-free and  
21 we can concentrate fully on version of Tripedia.

1 In addition, the marketplace has shifted substantially  
2 as well for a variety of good reasons, but they have  
3 moved to single-dose use of DTaP vaccines, and that is  
4 also a situation where it takes a little bit more  
5 capacity to do that. Of course, as far as from the  
6 timing standpoint, we fill as many multi-dose vials as  
7 we can fill single-dose vials. You get many more doses  
8 in a multi-dose vial, as you know. But the market has  
9 shifted and we're trying to adjust to that single-dose  
10 requirement as well.

11 In the long term -- longer term for Tripedia and for  
12 DTaP, we're looking at introducing a five-component  
13 vaccine from Canada and that will alleviate potentially  
14 -- it's being reviewed actively right now at the FDA.  
15 It was before an advisory committee and we're still  
16 discussing what needs to be done pre- and post-  
17 licensure for that product. But in the long term, that  
18 will alleviate a couple of things, one, the DTaP supply  
19 situation, and the T and D made for that product are  
20 actually produced in Canada. So it will allow us to  
21 free up our T and D manufacturer in the United States

1 to devote it more toward the Td product for adolescents  
2 and adults.

3 As far as what we're trying to do to alleviate the  
4 situation, I think Dean really described it pretty well  
5 as what we're trying to do. We're working very closely  
6 with the CDC. I appreciate Bob and Dean's help in  
7 trying to identify areas of need in public health and  
8 to let you even -- in any circumstance, we try to,  
9 throughout the course of the year, have a 60/40 split,  
10 60 percent of our DTaP vaccine goes to the public  
11 sector, 40 percent goes to the private sector, and we  
12 are unwavering about that. We try to make sure that  
13 we're fair and we also try to make sure that whoever  
14 needs, we try to do something for them. If we cannot,  
15 we will refer them -- we become the -- You remember  
16 "Miracle on 34th Street"? We will refer them to --  
17 We're the Macy's guys. We'll refer them to SmithKline  
18 if we are unable to fulfill an order and see if they  
19 can pursue it there.

20 From a Td standpoint, that's a much more difficult  
21 situation. I can tell you that we plan to produce 13.9

1 million doses, which would be above what was available  
2 this past year. However, we're still managing supply.

3 We're limiting customer orders, both in the private  
4 and the public sector. We are -- Actually, what we do  
5 is we call drop-shipping for distributors if we're  
6 limited their orders, but we also are the ones who ship  
7 out the orders. So we have control of this particular  
8 product because of its short supply situation.

9 We hope by the end of the year that we'll have  
10 implemented a plan of production that will allow us in  
11 the subsequent year, 2002, to have about 20 million  
12 doses available and, therefore, we'll be able to meet  
13 what needs to be filled as far as the pipeline, as well  
14 as -- as far as stockpiles or any stocking up that  
15 states may need to do. In the interim, we're sending  
16 out a letter to all hospitals and the directors of all  
17 hospitals, giving them our 1-800-vaccine number.

18 That's the only commercial I'll give you, because I  
19 think it's an emergency situation. If they need  
20 vaccine, we're available 24 hours a day, seven days a  
21 week. Call us at the 1-800-vaccine number and we'll

1 try to do what we can, but we are limiting orders  
2 across the board.

3 **DR. WHARTON:** Are there questions for Dr. Hosbach?

4 (NO RESPONSE)

5 **DR. WHARTON:** If not, is Mr. Kempf or someone here from  
6 Baxter Hyland?

7 **MR. LEE:** Hello. I'm Walter Lee from Baxter Hyland  
8 Immunovaccines. And as Mr. Mason had mentioned in his  
9 presentation, at this moment, Baxter is not supplying  
10 DTaP-combination vaccines and Baxter is here at ACIP  
11 today to better understand the situation around the  
12 DTaP product shortage and also the potential impact on  
13 the American public. We're also here to listen to the  
14 considerations of this body. We would like to ensure  
15 that we're taking all of these considerations into  
16 account in our future planning for DTaP products.  
17 Thank you.

18 **DR. MODLIN:** Rick?

19 **DR. ZIMMERMAN:** Is thimerosal the main issue that led  
20 to your decisions?

21 **DR. HOSBACH:** No, it is not.



1 this opportunity to update you on the Td shortage.  
2 During the last meeting in October, we had just learned  
3 of a shortage of Td vaccine, and we introduced some  
4 priorities for use of Td. This was later published in  
5 the MMWR in November. And just to refresh your memory,  
6 they are the following.

7 Of highest priority was use in travelers to countries  
8 where the risk for diphtheria is high. Second on our  
9 list of priorities was for use in prophylaxis and wound  
10 management. This was followed by completion of a  
11 primary series in adults for those who've not received  
12 their full primary series. This was followed by a  
13 booster dose for pregnant women and women at  
14 occupational risk for tetanus disease. This is  
15 followed by the adolescent booster. And last was the  
16 adult booster.

17 You've heard most of this in Dean's presentation.  
18 Initially, we thought that the shortage would be  
19 resolved by now or by the end of the first quarter of  
20 this year, but with the removal of tetanus-containing  
21 products from the market by Wyeth-Lederle, the shortage

1 continues and Aventis is the only nationwide producer.

2 Aventis is shipping out limited doses of tetanus  
3 toxoid in their shipments and, due to the long period  
4 of time that it takes to make tetanus toxoid, the  
5 shortage is expected to continue through most of 2001.

6 When we look at our surveillance data, we do not see  
7 any evidence of increased disease, particularly  
8 tetanus. However, due to reporting delays for tetanus  
9 reports to come through CDC, this isn't surprising.

10 The actions that we are taking in response to the Td  
11 shortage are to continue our prioritization. Aventis  
12 is directing doses to emergency rooms and trauma  
13 departments. We do get some calls from emergency  
14 departments or trauma units claiming that they do not  
15 have tetanus vaccine, and when the Aventis number is  
16 given, they don't call back. So it sounds like  
17 emergency room departments and trauma centers are able  
18 to fulfill their needs for wound prophylaxis. We will  
19 conducting ongoing review of reported diphtheria and  
20 tetanus cases through our surveillance data and,  
21 finally, I leave you with the question of, can other Td

1 manufacturers be attracted to the U.S. market?

2 Are there any questions?

3 **DR. MODLIN:** Questions for Dr. Zanardi?

4 (NO RESPONSE)

5 **DR. MODLIN:** I guess not. Thank you.

6 **DR. WHARTON:** You've heard in the presentation so far  
7 that we're hopeful that the DTaP situation will be a  
8 manageable one, but in case it isn't, we wanted to have  
9 some discussion about how available vaccine should be  
10 prioritized, and Dr. Bisgard is going to lead that  
11 discussion.

12 **DR. BISGARD:** I want to start off with, if a shortage  
13 does occur, we would like the ACIP to provide us  
14 guidance on the following three items.

15 Number one, should doses one to three be prioritized  
16 for optimal protection of infants; number two, should  
17 we suspend or defer DTaP dose four; and number three,  
18 should we suspend or defer DTaP dose five.

19 I want to switch to diphtheria -- Well, let's first  
20 talk about the shortage.

21 There was a shortage of DTP in 1985 when two or three

1 manufacturers had problems with their -- meeting their  
2 release guidelines, and at that time it was recommended  
3 to prioritize giving the first three doses for optimal  
4 protection of infants and they recommended delaying  
5 both dose four and five until increased vaccine  
6 availability. It turned out the shortage only lasted  
7 four months. It had been predicted to last a year. It  
8 was also recommended to not substitute DT for DTP and  
9 not to give partial doses of DTP and to establish  
10 recall systems to vaccinate children with the deferred  
11 doses.

12 Now to turn our attention to diphtheria antitoxin  
13 levels. You need a level of 0.01 international units  
14 per ml for protection and a level of 0.01 to .09 will  
15 give some protection. So this is the target level,  
16 0.01.

17 These are data from the multi-center acellular  
18 pertussis trial, at least for the vaccines listed  
19 there. And as you can see, there are differences in  
20 the GMT and the proportion of children that reached  
21 that protective level, although all were above 85

1 percent protected after dose three.

2 And this was a study looking at two different Lf  
3 diphtheria toxoid-containing vaccines, 15Lf and 25Lf  
4 and two different schedules, 2, 4, 6, and 15 months and  
5 3, 5, and 12 months. I'll just focus on this study.

6 After the three doses, almost 80 percent had a  
7 protective level and that dropped and then

8 was -- after the booster dose at 15 months of age was at  
9 about 100 percent. Again, that dropped by four years  
10 of age.

11 I didn't speak about the epidemiology of diphtheria in  
12 the United States, but we have fewer than three  
13 reported cases a year and we haven't had a case in a  
14 child since the early 1900's, but from the  
15 immunogenicity data presented, it seems that the  
16 booster in the second year of life and at the preschool  
17 entry appear to be needed to sustain protective levels  
18 against diphtheria.

19 Now, pertussis, I think you've all seen this data.

20 Incidence of pertussis from 1983 through 1999 has  
21 increased among infants less than one month of age in

1 the blue line and two to three months of age in this  
2 orange line, but has remained relatively stable for  
3 infants four to 11 months of age.

4 And these are data on cases and incidence in the United  
5 States in 1999, and these data are pretty similar to  
6 the past five or six years in which infants have the  
7 highest number of cases and incidence and children one  
8 to four years of age have slightly higher incidence,  
9 and children five to nine, we know there is waning  
10 immunity with the pertussis vaccine. We do have quite  
11 a few cases in young adolescents 10 to 14 years of age.  
12 These are efficacy estimates of the four currently  
13 U.S.-licensed vaccines, all the trials we've done in  
14 different places with different schedules, and also the  
15 differing aspects. So you can't really compare them  
16 head to head.

17 I'll just walk through these. Infanrix-vaccinated  
18 children 2, 4, and 6 months of age, there's a 17-month  
19 trial follow-up period. So children were about 23  
20 months of age at this point. Efficacy was 84 percent.

21 Then there was an observational part of the trial

1 which was unblinded, and children were about four years  
2 of age at the end of that, and the efficacy was the  
3 same. Certiva, a 3, 5, 12 schedule with a 17-and-a-  
4 half-month follow-up, so children were about two and a  
5 half years of age. Efficacy was 71 percent. Then they  
6 followed up children for another six months and  
7 efficacy was 77 percent. ACEL-Immune in Germany, four  
8 doses, 3, 5, 7, and 12, followed up for 25 and a half  
9 months, or about an age of three and a half years.

10 After four doses, efficacy was 85 percent. After three  
11 doses, it was estimated to be 73 percent. There was no  
12 additional follow-up. And in the case-control study of  
13 Tripedia, 3, 5, 7 doses, efficacy was 80 percent.

14 So the implications for pertussis is that we know that  
15 primary series is needed to protect infants. We also  
16 know from the those studies that protection with the  
17 acellular vaccines may last several years following the  
18 primary series.

19 So what are the pros and cons of deferring or  
20 suspending a dose? For dose four, the pros I came up  
21 with were that likely protection against pertussis and

1 tetanus would follow doses one through three. And  
2 because these children are still young, catch-up  
3 vaccination may be easier. However, the con is that  
4 there probably is not adequate protection against  
5 diphtheria, especially if children are travelling to  
6 diphtheria-endemic regions.

7 And for suspending or deferring dose five, the pros are  
8 that the doses one through four would ensure the  
9 greatest protection for young children and adequate  
10 protection against diphtheria and tetanus. However, if  
11 you're deferring dose five, there is waning immunity to  
12 pertussis that might lead to more school outbreaks in  
13 elementary schools and catch-up vaccination may be more  
14 challenging in this age group.

15 So I'm turning it back over the Committee at this  
16 point.

17 **DR. MODLIN:** Let me first ask if there are questions  
18 for Dr. Bisgard. Myron?

19 **DR. LEVIN:** Myron Levin.

20 Do you have an estimate of how many doses would be  
21 saved by each of the last two strategies?

1       **DR. BISGARD:** Dean Mason and I actually spoke about  
2       that, and it would be a short-term delay if we were --  
3       It depends on how long we were deferring or suspending.

4       If it was going to be a six-month defer, you might  
5       save -- I don't know, but I think it was about a  
6       million doses. So it really depends on --

7       **DR. LEVIN:** On how long, of course.

8       **DR. BISGARD:** How long, right. I don't know if Dean  
9       has anything to add to that.

10      **MR. MASON:** An objective on our part, there's 3.9 --  
11      3.8 million birth cohort. In a perfect world, that  
12      would be 3.8 times five doses per year. So that's  
13      something less than 20 million doses, but we know that  
14      90 percent of children start DTaP's within 90 days of  
15      birth and that there's a precipitous decline as one  
16      gets into ages three, four, or five unless they run up  
17      against day care or Headstart requirements. Another  
18      issue is, of course, you'll have to consider that there  
19      are spring roundups for kids entering kindergarten next  
20      year. School entry requirements would have to -- there  
21      would be a lot of factors to think about, but in a pure

1 world, if you suspend one dose, you would save  
2 approximately 3.8 million doses a year. That's  
3 certainly an overestimate.

4 **DR. MODLIN:** Natalie, maybe I could ask you what the  
5 effect on school entry requirement might be, say, in  
6 California, and perhaps others who want to speak about  
7 other states, if we were to suspend or to -- well, the  
8 delay dose five.

9 **DR. SMITH:** It would obviously take a massive  
10 implementation effort, a lot of -- Systems are set up  
11 to require those doses and they are sometimes  
12 computerized. So there would be a lot of changing in  
13 that sense. I was -- We did have a meeting of all the  
14 state and territorial managers last week in Denver and  
15 there was a whole lot of concern about this. But I  
16 guess the main message they put forward was, just tell  
17 us what to do and stick with it so that if we have to  
18 suspend that fifth dose, we do it and we go through all  
19 the processes we need to not require it.

20 **DR. MODLIN:** I guess the other question that we haven't  
21 really addressed yet is what -- at what point -- what

1 would trigger the decision to institute such a policy,  
2 when would we know that this is the right -- the  
3 necessary thing to do. Obviously, this is not  
4 something the Committee can necessarily decide upon. I  
5 think it would have to obviously left up to the program  
6 to make a decision as to when you feel there no longer  
7 is sufficient vaccine to continue to provide all five  
8 doses. So I think it would be important for us to have  
9 a little bit of thinking and discussion around that  
10 point as well.

11 Jon?

12 **DR. ABRAMSON:** Jon Abramson.

13 The question that I have relates to the mortality and  
14 severe morbidity associated with pertussis. It's clear  
15 that it's highest in the first year, but do we have  
16 data that tells us what it is in the second year? Do  
17 you understand my question? Because you're trying to  
18 get at the issue of, is it okay not to give the 12-to-  
19 18-month one. What is the mortality and significant  
20 morbidity in the second year of life?

21 **DR. BISGARD:** We have about 15 reported deaths due to

1 pertussis every year, and most of them are less than --  
2 are in children less than six weeks of age. And we  
3 have data on hospitalization among older children but,  
4 again, most of the hospitalizations are less than -- in  
5 children less than six months of age. There are some  
6 in those six months to 11 months and one year of age,  
7 but it's a lot less.

8 **DR. ABRAMSON:** And those would be interesting to see.

9 **DR. MODLIN:** Georges, do you want to provide anymore  
10 historical perspective on the events of 15 years ago?  
11 Georges, very perceptively, went through his files two  
12 or three weeks ago and helped me out in terms of  
13 helping understand what we went through at that period  
14 of time.

15 **DR. PETER:** Well, the discussions were very similar in  
16 that one of the manufacturers had dropped out of the  
17 market in production and another had production  
18 problems. So we were left with one supplier. We made  
19 specific recommendations, as I believe we have the  
20 information our packet of information, and by the time  
21 it came to implement those recommendations, the

1 shortage had not materialized. But basically, the  
2 recommendation was to defer the fourth and fifth doses.

3 We did not get into issues that related to -- as you  
4 discussed here about whether to choose dose five or  
5 dose four or whether simply to defer dose four for six  
6 months, and we did not -- Of course, the situation was  
7 quite different because then we were dealing with whole  
8 cell vaccine. Whereas, with acellular vaccine, it  
9 appears that the duration of immunity may be longer  
10 than after three doses of whole cell vaccine.

11 **DR. MODLIN:** Presumably, if we did reach such a point,  
12 it would be ideal to try to, in some respects, have  
13 this apply equally to the public and to the private  
14 sector. So I guess the question is, how might that be  
15 coordinated? And I might ask Jon, or Larry, or both,  
16 or Georges to address the issue of what the Academy may  
17 be doing faced with similar numbers and a similar  
18 problem.

19 **DR. ABRAMSON:** Yeah, I think this would be a discussion  
20 -- I'm sorry, Jon Abramson. This will be the  
21 discussion that we'll have at the end of March at the

1           spring COID meeting. I don't know what we'll do. I  
2           mean, the thing that bothers me the most is to say that  
3           you're going to suspend the fourth dose if we don't  
4           understand what the mortality and morbidity data are.  
5           Pertussis is the main thing we have to worry about, at  
6           least in the short term. So if we can understand that,  
7           and there is significant -- I realize it's going to be  
8           less than the first year, that this is truly  
9           significant, the morbidity and mortality in the second  
10          year, then I think the answer becomes a lot clearer.

11          **DR. MODLIN:** Walt?

12          **DR. ORENSTEIN:** I would just say, I think  
13          one -- at least one of the vaccines, it looks like  
14          protection extends well into the second year, clearly  
15          in terms of mortality. I think Kris mentioned the  
16          major issue was in the first part of the first year of  
17          life. So I think that the morbidity is substantially  
18          less. I think to  
19          begin -- What I remember 15 years ago is we just said the  
20          first three doses are paramount, and I think we just  
21          need to give them the highest priority, and I don't

1 think we've really tried to differentiate whether we  
2 should be dose four alone or dose five alone. I think  
3 there was considerable concern at the time of dose five  
4 in the sense of prolonging immunity into the early  
5 school age years, but I think that what we did at the  
6 time was just to say dose one, two, and three and not  
7 worry about trying to differentiate dose four versus  
8 dose five.

9 **DR. MODLIN:** Rick?

10 **DR. ZIMMERMAN:** Rick Zimmerman.

11 It sounds like one of the issues is really almost a  
12 policy analysis issue. Is it dose  
13 four -- are you going to hit day care requirements, and the  
14 potential -- is there going to be a gap when children  
15 are younger and have smaller airways, versus dose five,  
16 which all children are going to be affected with school  
17 entry law potentially. So that's -- it seems it's a  
18 weighing of those two issues in making the decision.  
19 It would unfortunate if you had -- if you took, I  
20 think, both off, because then you would have two groups  
21 that you're really dealing with.

1       **DR. MODLIN:** Georges?

2       **DR. PETER:** Well, I do think that to issue some  
3       guidelines now in case of shortages would be very  
4       helpful to alerting the pediatricians and family  
5       physicians. I think that was a major aspect of the --  
6       of the preparation in 1985, that recommendations were  
7       made in advance of the time when actually shortages  
8       developed.

9       Secondly, I don't know if we know what percentage of  
10      children get the fourth dose, at 12 months, 15 months,  
11      or 18 months. My impression is a lot of children get  
12      it between 12 and 15 now instead of 15 to 18, and I'm  
13      not sure that we know the data or the implications.  
14      The schedule years ago was to give DTP at 18 months of  
15      age, and the only reason it was changed to earlier, I  
16      believe, was related to administration of the doses  
17      concurrently with other vaccines. So, indeed, a  
18      postponement -- I mean, changes in the past were made  
19      to fit the schedule and a slight delay in the  
20      administration of the fourth dose might be sufficient  
21      to tide us over until we had adequate supply.

1           **DR. MODLIN:** Suggesting that children shouldn't receive  
2           the fourth dose until 18 months of age in the case that  
3           are shortages. That  
4           might -- It doesn't -- It's a very short-term solution.

5           **DR. PETER:** Which may be a short-term problem.

6           **DR. MODLIN:** Which may be a short-term -- hopefully, a  
7           short-term problem. Peggy?

8           **DR. RENNELS:** Peggy Rennels.

9           A concern I have about dropping or postponing the  
10          preschool fifth dose would be that those children may  
11          be lost forever if you don't get it into them before  
12          school.

13          **DR. MODLIN:** Or you would rely on the schools for some  
14          sort of a recall system which would presumably have its  
15          own problems, but we almost certainly would be relying  
16          on the schools in most cases to follow-up, which --  
17          Other comments or questions? I guess, procedurally, we  
18          really haven't thought this through.

19          **DR. LEVIN:** Can I ask one other question?

20          **DR. MODLIN:** Yes, of course, Myron.

21          **DR. LEVIN:** The reason for asking how many doses we're

1 talking about for each strategy is, what is -- is there  
2 a prediction what the shortfall will actually be? I  
3 mean, I saw all kinds of figures of who's not doing  
4 what, but I'm not sure I know how many doses we're  
5 trying to save in a six-month period.

6 **DR. MODLIN:** I don't --

7 **DR. LEVIN:** That would determine the strategy.

8 **DR. MODLIN:** Yeah. I sense from what we heard from the  
9 manufacturers is we don't know. It's a little  
10 unpredictable at the moment. It will probably be  
11 clearer in four to six months. Is that the message?

12 **DR. LEVIN:** Because you can have a step-wise policy of  
13 what to do if you knew that it was going to be a short  
14 -- a small amount or a large amount and keep changing  
15 your --

16 **MR. MASON:** It's a critical question. The first area  
17 is, obviously, we need a sensitive surveillance system  
18 programmatically, that if it reaches an end stage of x  
19 number of states reporting spot shortages, do we need  
20 to enact something rather quickly. In terms of the  
21 actual amount of the present shortage, it gets into

1 proprietary information about the number of lots that  
2 are pending release for each company with the FDA. I  
3 think Phil had a great point: the FDA and the  
4 manufacturers are very aware of the problem and they're  
5 working cooperatively, but the pipeline only generates  
6 x amount each month. That's all I can say.

7 **DR. MODLIN:** Dennis?

8 **DR. BROOKS:** I just want to reflect on the Harmonized  
9 Schedule, in that if you make these recommendations,  
10 would you have to put it on the bottom of the schedule  
11 or legend or something like that? Because most  
12 providers seem to go to that schedule immediately when  
13 they're looking for information.

14 **DR. MODLIN:** Well, we were just thinking about  
15 procedures and hadn't really thought that through  
16 completely, but I assume that, with the hope that this  
17 would be a short-term solution, this would be something  
18 in the essence of an update to readers or an  
19 announcement in the MMWR that would in some way or  
20 respects be time-limited, in which we would transmit  
21 the idea that there would be further clarification of

1 the situation within a timely period of time. Would  
2 that be what you're thinking of, Melinda?

3 **DR. WHARTON:** Yes.

4 **DR. MODLIN:** Phil?

5 **DR. HOSBACH:** Phil Hosbach, Aventis.

6 I wish I could be 100 percent reassuring. We are  
7 looking probably in the three- to six-month time frame  
8 of substantial improvements and a lot of it really  
9 hinges upon continued release of the products, we don't  
10 have any hiccups, and every year there's always hiccups  
11 with lots now and then. And when we're in a situation  
12 like this, it just exacerbates the problem. Also, just  
13 relative to us being able to turn over completely to  
14 preservative-free Tripedia will also be a predictor of  
15 when we're going to be able to come out of some of  
16 this.

17 **DR. MODLIN:** Jon, if this is a three- to six-month time  
18 frame, would you feel a little more -- saying when --  
19 about the advisory regarding the fourth dose as opposed  
20 to the fifth dose?

21 **DR. ABRAMSON:** Yeah. We knew that.

1       **DR. MODLIN:** Let me get a sense of the voting member of  
2       the Committee, how they -- Please go ahead and make  
3       comments, but I really would be curious specifically as  
4       to your opinion about if we do need to make a decision,  
5       what the decision should be in terms of an either/or or  
6       if.

7       Dave, why don't you go ahead?

8       **DR. JOHNSON:** I would be in favor more of delaying or  
9       deferring the fourth dose. And the other point I  
10      wanted to raise was the possibility of deferring it for  
11      children who are not in day care. I don't have a good  
12      sense for other states but, really, only about half of  
13      our kids in that age range are actually in day care  
14      where they're required to show evidence of that. So  
15      maybe we would defer those kids that aren't in day care  
16      and that might be enough to get us over the hump. But  
17      either way, I would be inclined to look at the fourth  
18      dose as opposed to the fifth dose. I think there would  
19      be less disruption there.

20      **DR. MODLIN:** Jon, do you want to respond?

21      **DR. ABRAMSON:** Well, yeah. Jon Abramson.

1 We try to get at this issue of day care with the  
2 pneumococcal conjugate vaccine, and you can read  
3 numbers anywhere from 20 to 80 percent if we're using  
4 the same definition, no less. So  
5 that -- I've come to look at that as a nightmare.

6 **DR. MODLIN:** Rich, did you have a comment?

7 **UNIDENTIFIED SPEAKER:** No.

8 **DR. MODLIN:** Okay. Others? Myron?

9 **DR. LEVIN:** Can I ask Dave why he chose four or five?  
10 Just go through the pros and cons of that again.

11 **DR. JOHNSON:** I would be inclined to look at deferring  
12 four. I'm not talking about suspending four. I'm  
13 talking about deferring it for six months or whatever  
14 it would take. I think there are a number of  
15 interactions in the second and third year of life that  
16 would allow that child to be caught up with the fourth  
17 dose. And I think Peggy brought up a good point that,  
18 sure, we have the child in school after kindergarten,  
19 after first grade, after second grade, but it's a great  
20 deal of effort, it would seem to me, to try and go back  
21 to all of those kids if we miss the opportunity at five

1 years of age to get them the fifth dose. I think it  
2 would be easier to catch up children on the fourth dose  
3 and the intervening opportunities before school entry.

4 **DR. MODLIN:** Natalie?

5 **DR. SMITH:** Yeah, I would agree. I was going to say  
6 essentially what you just said in that -- that if you  
7 don't get that shot or it's deferred, the fourth dose  
8 is deferred, they still have a chance to hit the school  
9 laws when they enter kindergarten. So those kids will  
10 be caught somehow. I mean, it's not ideal. And to  
11 recall, as you said, all those kindergarten students  
12 and expect the schools to do that I think is somewhat  
13 unrealistic.

14 Then, thirdly, I am worried about pertussis school  
15 outbreaks. It would be nice if those kids, as they  
16 enter kindergarten, get that booster dose.

17 **DR. MODLIN:** Peggy, did you have anything else?

18 **DR. RENNELS:** I agree.

19 **DR. MODLIN:** Okay. I think -- Is it fair to -- Yes?

20 **DR. DESEDA:** Could it be possible -- Deseda. Could it  
21 be possible that -- If this shortage lasts too long and

1 it becomes a big problem, we have to change  
2 recommendations that have been, you know, available for  
3 years. Is it possible that in the crisis to import a  
4 number of vaccines from the same companies overseas  
5 facilities? Would the FDA give some dispensation or is  
6 this too farfetched?

7 **DR. MODLIN:** I hesitate to answer for the FDA. Karen?

8 **DR. MIDTHUN:** I mean, the only mechanism we have for  
9 that is under an investigational new drug application.

10 If it's not licensed in this country, then it could  
11 only be used under an investigational application.

12 **DR. MODLIN:** I think it's important to keep in mind the  
13 perspective. This committee will be meeting every four  
14 months, and we will have the opportunity to review this  
15 and to adjust and to adapt as needed.

16 Is it fair to say that there is a consensus that if we  
17 do need to advise on delaying a dose that it be the  
18 fourth dose? Any disagreement with that?

19 Melinda, maybe the best way to deal with this would be  
20 ask that we put together just a brief paragraph that  
21 might serve as language for a notice to readers, and

1 maybe we could review that tomorrow at sometime and  
2 then we can get a formal vote on that.

3 Walt?

4 **DR. ORENSTEIN:** I presume that if it gets more severe,  
5 that dose five would be the next thing. I think that  
6 it would be useful to -- at least for us, to know the  
7 prioritization, and dose one, two, and three would be  
8 kept unless absolutely problematic.

9 **DR. MODLIN:** Which we perhaps could include. We're  
10 getting into real problems there, obviously, we all  
11 recognize, but I think that in terms of providing  
12 advice to the program, I think that's appropriate.  
13 Bonnie?

14 **DR. WORD:** Just a brief question. Maybe it was asked -  
15 - or it was said and I missed it.

16 I'm not quite sure what the cut-off level is when  
17 you're defining the word "shortage," when you were  
18 going to -- I mean, I know you're deciding, if we have  
19 a shortage what we're going to do or what we would  
20 recommend, but when do you --

21 what --

1           **DR. MODLIN:** I had raised that issue earlier, and I'm  
2           not certain as -- it's up to the  
3           Committee -- we can advise --

4           **DR. WORD:** Or the CDC can --

5           **DR. MODLIN:** I'm afraid I'm going to have to leave it  
6           up to the program to make a decision as to when that  
7           point has been reached and, in advice with the AAP and  
8           the private sector, make some decisions as to what  
9           point in time to publish a specific recommendation and  
10          direct the program and the distributors to -- and the  
11          programs to act accordingly.

12          Walt, did you have anything else?

13          **DR. ORENSTEIN:** I was just going to say, I think what  
14          we would do is clearly -- there is no hard-and-fast  
15          rule and I think what we would do is talk with FDA and  
16          with the manufacturers and, just as you said, the  
17          states and try and make our decision, as much as we did  
18          last time back in the mid-'80's.

19          **DR. SNIDER:** And just to elaborate on that, I think  
20          there would be consultation, not only with the states  
21          but with at least you, Jon, and perhaps some other

1 members of the ACIP. Obviously, the CDC Director would  
2 be involved in a decision like this, as well. If not,  
3 the Secretary of HHS. So --

4 **DR. MODLIN:** Certainly, if it were in -- we thought  
5 that it were appropriate and desirable, we can convene  
6 the Committee via conference call in between our  
7 regular meetings and have, in fact, done so several  
8 times in the last couple of years. And we can actually  
9 -- we've gotten to a point where we can do that more  
10 quickly and efficiently than we have in the past as a  
11 result of some changes in the policies and procedures.

12 So that's certainly an option as well. But we'll  
13 review some language tomorrow, if that's okay and,  
14 therefore, maybe go on to the next item on the agenda  
15 unless there are any other -- anymore comments about  
16 this.

17 We are running a little ahead of time. Roger, are you  
18 all set? Roger Bernier is going to give us an update  
19 on thimerosal-related issues.

20 **DR. BERNIER:** Thank you. Actually, I'm just going to  
21 give an overview for a couple of minutes and there will

1 be two principle speakers in this session: Dr. Heilman  
2 from NIH and Dr. Mootrey from the National Immunization  
3 Program.

4 I'd like to say that, initially, when we were planning  
5 this session, we thought it was going to be the time to  
6 come back to the Committee and say that we expect that  
7 we will have a second DTaP vaccine which is thimerosal-  
8 free as of the early part of 2001 as we had predicted  
9 last summer, and given that we do have these two  
10 vaccines, does the Committee, in fact, wish to express  
11 a preference for thimerosal-free DTaP. But as events  
12 have outpaced us, that question became moot. So we  
13 have not come to you today to talk about that issue.  
14 It does appear that the manufacturer is optimistic that  
15 that second DTaP product will be available, or at least  
16 approved for use, in the first part of this year as we  
17 had predicted, but since there will only be two  
18 manufacturers at that point with thimerosal-free  
19 vaccines, the preference issue is not something that  
20 you have to face today.

21 So what we thought we would do, take a little bit of

1 time not with a decisional item but an informational  
2 item where you could hear a little bit about some of  
3 the research that's going on relating to thimerosal.  
4 Given that we have made the progress that we have in  
5 reducing exposure to thimerosal and now, very soon, we  
6 may well have reduced that to zero for the routine  
7 immunization schedule, the primary drivers for the  
8 research have to do with other countries where  
9 thimerosal is still being used and also having to do  
10 potentially in the future with issues that may be faced  
11 in the compensation program. The search is not being  
12 driven primarily by policy decisions that we need to  
13 make now for the use of these vaccines in the U.S.  
14 There are two speakers, as I mentioned earlier. Dr.  
15 Heilman, from NIH, will talk about both some results  
16 that have been obtained in one of their studies and  
17 also will talk about plans for future studies that they  
18 have underway. Dr. Mootrey will talk about the future  
19 of an epidemiologic study that CDC is trying to pull  
20 together. Not all of the research that we know about  
21 will be presented today. There are other research

1 projects underway. For example, in the U.K., we  
2 understand that they are looking at this issue in a  
3 population of general practitioners. And we would  
4 appreciate mention of any research that anyone knows  
5 about at this meeting so that we can keep track of  
6 that. If you are aware of other projects that are not  
7 mentioned, please bring them to our attention.  
8 So without any further comment, I'll ask Dr. Heilman to  
9 come forward and talk -- she'll talk both about the  
10 results and about the future studies. Carole is  
11 Director of the Division of Microbiology and Infectious  
12 Disease at the National Institutes of Health.

13 **DR. HEILMAN:** Thank you, Roger.

14 I thought I would just start out introducing who NIH is  
15 and the role that we play in vaccine research and  
16 discovery. And this is just a little diagram here to  
17 remind me to tell you that NIH, particularly NIAID, is  
18 very much involved with vaccine development and  
19 discovery. That's our primary job and the primary  
20 focus of our activities.

21 In so doing, we do actually have a number of

1 investigators that we can often call on, and that's  
2 indeed what we did this time, to answer additional  
3 questions that may have some public health implication.

4 We also have, as part of our development -- vaccine  
5 development activities, we also have quite a large  
6 infrastructure. We do quite a bit of clinical trials,  
7 phase one through, in some cases, phase four trials,  
8 and at any point in time, we have about 50 vaccine  
9 trials ongoing. I say this because -- both in terms of  
10 the infrastructure that we have to call on but also in  
11 terms of our interest and our experience in vaccine  
12 safety issues.

13 So with respect to the issues of thimerosal, we really  
14 came about this asking two fundamental questions, and  
15 that is, the guidelines that were used for decision-  
16 making around thimerosal were quite indeed the  
17 guidelines that were based on the information from  
18 methylmercury. So, again, this is methylmercury with  
19 chronic dietary exposure, and the question that we had,  
20 are those guidelines indeed appropriate for guidelines  
21 for thimerosal, which indeed is a different compound as

1 ethylmercury, which indeed is injected IM  
2 intermittently, a different route.

3 The second question that we asked was, if exposure to  
4 methylmercury and ethylmercury -- do both of them  
5 actually result in the same levels of mercury in the  
6 brain, which is the bottom line of concerns with  
7 respect to thimerosal.

8 So in doing this, we were able to focus on two  
9 populations here, humans and animals. We did the  
10 humans first, and the reason that we did the humans  
11 first was because we really had a short -- very, very  
12 short window of time before we were going to be losing  
13 thimerosal vaccines. So we asked one of our vaccine  
14 and treatment evaluation units at Rochester, which  
15 quite happened -- it also happens to have one of the  
16 best groups of toxicologists involved in mercury  
17 evaluations -- They partnered with our VTEU  
18 investigators -- to take a look at children were have -  
19 - I'll go to that one, but the second one that we were  
20 doing is also animals. Let me go to the first study.  
21 The first study was, again, as I say, conducted at the

1 Rochester VTEU, and the goal there was to really assay  
2 the levels of mercury in the serum and urine of  
3 children receiving routine immunizations. Now, it just  
4 so happens that we were able to get a population who  
5 received at their two-month and their six-month dose  
6 vaccine regimens containing thimerosal and also a  
7 population that had vaccines that were thimerosal-free.

8 So we did have those two populations, and we were able  
9 to compare the levels of mercury in serum mercury, in  
10 particular, in children who received vaccines  
11 containing thimerosal with those that received  
12 thimerosal-free vaccine. It was a very simple kind of  
13 protocol, and because we had to institute it quite  
14 quickly, what I'm going to show you, the results of  
15 that, is a little more complicated.

16 We were able to get 63 full-term infants. 40 of them  
17 were involved -- 40 of them had as their routine  
18 immunization thimerosal-containing vaccines. The  
19 Elmwood Pediatric Practice, that was the two vaccines  
20 that they used at two months, as well as six months.  
21 And we were also to get the Naval Medical Center who

1 used thimerosal-free vaccines as part, again, of their  
2 standard care.

3 What I'm going to show you -- I'm going to have to go  
4 through this for you -- is a scatter plot of the  
5 results. And plotted on the Y axis is the nanogram per  
6 milliliters of serum mercury. Plotted on the X axis is  
7 the days post the last vaccination when the serum was  
8 taken.

9 The line that's going at the 1.4 ng/milligram of serum  
10 mercury is the controls. Those are an average of our  
11 20 controls. And what I do need to point out to you is  
12 a mistake and that is the red dots over there are  
13 actually those children that received less than or  
14 equal to 50 micrograms of total mercury. They're all  
15 two-month-olds. The average amount of mercury they  
16 received was about 38 micrograms. It ranged from 25 to  
17 50 micrograms. The blue dots or the blue squares are  
18 those children, again, all six months of age, that  
19 received greater than 50 micrograms of total mercury.  
20 Now, there's a few things to point out about this  
21 graph. The very -- most important thing for me to

1 point out is that this graph is exaggerated to make a  
2 few points and to really try to see if there's a trend,  
3 but under no cases were the levels of mercury found  
4 anywhere near the EPA, the FDA, or the ASTDR  
5 guidelines. They were at least 1.5 logs lower than any  
6 of those guidelines. And to remind you, those  
7 guidelines are at least a log lower than any of the  
8 toxic amounts of mercury found. So all of these levels  
9 of mercury are perfectly within the normal guidelines.

10 So that's important to know. As I said, this graph is  
11 exaggerated because we wanted to see if there were any  
12 apparent trends, is there anything that we can say  
13 about the vaccines and the mercury content. And I  
14 think it's probably fair to say there's no trends.  
15 There's no real relationship between the total amount  
16 of thimerosal-containing vaccine that a child has  
17 received and the amount in terms of nanogram per  
18 milligram of serum mercury in their blood. The vast  
19 majority were at the same levels of children who had  
20 received thimerosal-free vaccine.

21 Having said that, there's three dots that are

1 outstanding there and I wanted to talk a little bit  
2 about those three children. Again, let me remind you,  
3 this is an exaggerated graph to make a particular point  
4 and they would be essentially background if you were  
5 asking a different question.

6 If we look at those three particular kids and take a  
7 look at, you know, who are they, what are some of their  
8 characteristics, well, there's a few things we can say  
9 about them. Again, they're all two months of age.

10 These children did not have any -- there was no  
11 temporal relationship in terms of when they received  
12 the vaccine at the clinic. They all received 38  
13 micrograms per mil of -- I'm sorry, a total of 38  
14 micrograms of thimerosal-containing vaccine. It was  
15 much less than some of the blue dots that received  
16 greater than -- at least 100. We can also -- The only  
17 thing that I was able to see that may, indeed, have  
18 potentially any relationship to this was we were able  
19 to assay maternal hair. And although I have no idea on  
20 the breast-feeding patterns of any of these kids, two  
21 of these three had maternal hair levels that were

1 greater than one part per billion. Now, again, I have  
2 to put that in perspective. The average that a normal  
3 person could be expected to have is four parts per  
4 billion amount of mercury. If you have a tuna fish  
5 sandwich, you will have greater than four parts per  
6 billion in your hair. So these just had greater than  
7 one, but I will tell you that that one over there also  
8 had close to two. So there wasn't any particular  
9 relationship that we could necessarily say, but that  
10 was the only characteristic.

11 We did have one -- one mother in the thimerosal-free  
12 group that also had greater than one part per billion  
13 maternal hair mercury and the child's level was, again,  
14 less than 1.5.

15 The bottom line of this, we really didn't learn very  
16 much, but it gave us -- it asked -- it probably gave us  
17 more questions than it did answers, and that probably  
18 led back to the very first question and that is, is  
19 there indeed a relationship between methylmercury  
20 toxicity and ethylmercury in thimerosal. So in order  
21 to address these kinds of questions, we've opted to go

1 to five separate protocols, which I'll just briefly  
2 outline. They're in various stages of development  
3 right now. I also wanted to publicly thank the  
4 National Vaccine Program Office who has felt that these  
5 were important enough studies to also contribute funds  
6 towards this effort.

7 Two studies we'll talk about are in rhesus macaques and  
8 the other three studies are in mice, and we're  
9 partnering with our NIEHS, which is the National  
10 Institute of Environmental Health Safety which has  
11 remarkedly good toxicologists.

12 All the assays will be performed at the University of  
13 Rochester, which, again, has been the gold standard for  
14 our human studies.

15 So the first study that we're looking at in the primate  
16 is to really do a pretty good determination, and this  
17 is really talks of the kinetic information regarding  
18 peak blood and brain levels of mercury in juvenile  
19 macaques. We're going to expose them at weekly  
20 intervals for about four weeks to thimerosal at 50  
21 micrograms per kilogram per day plus infant vaccines,

1 and this will be done IM. We'll also look at  
2 methylmercury at 50 micrograms per kilogram per day,  
3 again the oral, which will be our control -- We're also  
4 going to look IM to see if there's a different  
5 distribution pattern.

6 To ask the question about whether or not there may have  
7 been, you know -- as the children are younger, maybe  
8 they just can't metabolize or the distribution patterns  
9 may be a little bit different, we're going to then jump  
10 down to really infant macaques. Again, we'll do a  
11 similar kind of regimen, but they will more closely  
12 mimic the two-month, four-month, six-month kind of  
13 immunizations that we care about. These will be  
14 sacrificed. We'll be looking at brain scans in doing a  
15 complete body absorption.

16 We're going to then move into the mouse studies where  
17 we can just get more numbers and do some additional  
18 kinds of studies. One of those will be a dose-  
19 escalation study, in which we'll be providing multiple  
20 doses of mercury to see whether or not we can really  
21 push the system more than we could in the macaques

1 studies. These will be done at single time points, and  
2 we'll also do the oral and IM route as we've done  
3 before.

4 We also wanted then to take a look at the cellular  
5 patterns of distribution and the different forms of  
6 organic mercury within the brain, and we'll do very  
7 intense brain scans along that to see exactly how  
8 they're deposited if they are deposited. Then a  
9 possible question is whether or not thimerosal, in  
10 combination with immunization, i.e., immune activation,  
11 had any effect in terms of altering the brain levels of  
12 mercury. So we'll look at that kind of a question in  
13 great detail.

14 These studies are -- Almost all of the protocols are  
15 just about written and these are all the people that  
16 will be collaborating this effort, and we're very lucky  
17 in terms of -- within NIAID. Luckily, in the division  
18 of AIDS, of all places, we had a person whose specialty  
19 was methylmercury. So she helped us in development of  
20 these protocols.

21 Thank you very much.

1 DR. MODLIN: Thanks, Carole. Any questions for Dr.  
2 Heilman?  
3 DR. HEILMAN: We should know about methylmercury and  
4 ethylmercury than you ever wanted. So . . .  
5 DR. MODLIN: Stan Plotkin?  
6 DR. PLOTKIN: I would like a clarification, Carole. I  
7 mean, what you showed was, in these 63 infants, there  
8 were no toxic levels.  
9 DR. HEILMAN: Correct.  
10 DR. PLOTKIN: What I wasn't -- What wasn't clear to me  
11 was, what were the levels in the controls who received  
12 no thimerosal?  
13 DR. HEILMAN: That was the one that went across at 1.5  
14 nanograms. It went no higher than 1.5.  
15 DR. PLOTKIN: I see. So all of those --  
16 DR. HEILMAN: That was the highest level, was 1.5.  
17 DR. PLOTKIN: -- were distributed below the line.  
18 DR. HEILMAN: Uh-huh (affirmative).  
19 DR. MODLIN: Jane?  
20 DR. SIEGEL: Jane Siegel.  
21 What did you find in the urine levels?

1           **DR. HEILMAN:** There was absolutely  
2 nothing -- no patterns whatsoever in the urine levels. We  
3 looked at those especially and there's nothing -- even  
4 a plot there.

5           **DR. MODLIN:** Carole, do you have hair levels on all of  
6 the mothers or just did you just snip hair from those  
7 from which you had selected slightly higher levels --

8           **DR. HEILMAN:** No. We actually had all maternal hair  
9 from all of the mother/infant pairs.

10          **DR. MODLIN:** And how many of them were actually able to  
11 measure a measurable amount of mercury in their hair?

12          **DR. HEILMAN:** This -- The measurement, if I'm correct,  
13 it went down to about 0.1 parts per billion. You could  
14 measure that.

15          **DR. MODLIN:** As the limit. Thanks. Yes? The  
16 microphone.

17          **MS. REDWOOD:** Yes. I had just a couple of real brief  
18 questions.

19          The sex of the --

20          **DR. MODLIN:** Could you identify yourself, please?

21          **MS. REDWOOD:** My name is Lynn Redwood.

1 The sex of the three outlying children, do you know if  
2 they were male or female, since males are four times  
3 more sensitive to mercury than are females?

4 **DR. HEILMAN:** I do have that information, but I don't  
5 know. I would have to look that up.

6 **MS. REDWOOD:** The other question I had is the levels  
7 you were saying early on were 38.5 which is only about  
8 half of what children have been previously receiving in  
9 terms of then thimerosal exposure, and I guess I also  
10 have some concerns about the small number, only 62  
11 infants in this --

12 **DR. HEILMAN:** Absolutely. No, again, please understand  
13 this is not a definitive study. It was to really,  
14 quite frankly, give us some information of what to even  
15 look for when we do the animal studies.

16 **MS. REDWOOD:** Well, when you look at academia, one in  
17 every 500 children were sensitive. So I think with a  
18 population of only 62, you're probably not going to see  
19 those children that are highly sensitive to mercury.  
20 Thank you.

21 **DR. HEILMAN:** Absolutely.

1 DR. MODLIN: Larry?

2 DR. PICKERING: Carole, what were the -- you mentioned  
3 breast-feeding, but I missed -- was there a difference  
4 in breast-feeding patterns between the two groups?

5 DR. HEILMAN: Unfortunately, that information wasn't  
6 collected, and that was unfortunate.

7 DR. MODLIN: Further questions or comments?

8 (NO RESPONSE)

9 DR. MODLIN: Carole, thank you very much.

10 DR. BERNIER: The next speaker is Dr. Gina Mootrey, an  
11 epidemiologist in the National Immunization Program  
12 here at CDC. She'll talk about some of the plans that  
13 CDC is examining for an additional epidemiologic study.

14 DR. MOOTREY: Good afternoon.

15 Today I will briefly provide you with some information  
16 about one epidemiologic study that we are just starting  
17 the work on. We're still in the protocol development  
18 phase of this study and I suspect either myself or  
19 others will be back here at subsequent dates to give  
20 you more information about it.

21 As background information, you probably remember that

1 back in June of 2000, the National Immunization Program  
2 convened a panel of external individual consultants to  
3 review the results of NIP's data analysis that was done  
4 using the Vaccine Safety Datalink Project. The VSD,  
5 Vaccine Safety Datalink, otherwise -- I'll call it VSD  
6 throughout this talk. The screening analysis examined  
7 the potential association between infant exposure to  
8 thimerosal-containing vaccines and selected  
9 neurodevelopmental disorders and renal effects.

10 The analysis found that cumulative exposure at  
11 different months during infancy was associated with  
12 unspecified development delay, ticks, speech and  
13 language delay, and attention deficit hyperactivity  
14 disorder, or ADHD. There were also a number of other  
15 conditions for which they did not find any association,  
16 including autism.

17 The external consultants that reviewed this data  
18 analysis found several potential limitations of the  
19 analysis, and I have some of them listed here. They  
20 found that there was a potential for ascertainment bias  
21 or confounding related to health-care-seeking behavior.

1        In other words, the children who made more use of  
2 health care services and, consequently, were more  
3 likely to have received all of their recommended  
4 vaccines could also have been more likely to have been  
5 diagnosed with the outcomes of interest thereby biasing  
6 the results towards finding elevated relative risks  
7 associated with higher vaccine exposure.

8 Another limitation of the study was the uncertainty of  
9 the meaning or significance of the exposure estimates.

10        In other words, there's a paucity of data from animal  
11 experimental or human observational studies on  
12 ethylmercury or the extrapolation of methylmercury to  
13 ethylmercury.

14 There were also concerns about the inexactness of the  
15 neurodevelopmental diagnoses that were used in the  
16 screening analysis -- ICD-9 codes were used -- and  
17 there's also a question of consistency of the diagnoses  
18 across different clinicians, clinics, and HMO sites.

19 The study did not obtain any data on the possible  
20 familial or genetic predispositions to different  
21 neurodevelopmental outcomes and the analysis had a

1 limited ability to distinguish between risks attributed  
2 to thimerosal versus those from other vaccines or other  
3 vaccine components.

4 Although a weak statistical association between  
5 exposure to thimerosal-containing vaccines and some  
6 neurodevelopmental disorders was demonstrated, the  
7 consultants concluded that the VSD results do not offer  
8 adequate evidence to support or refute a causal  
9 relationship. However, they felt that the implications  
10 could be profound and therefore further investigations  
11 were warranted.

12 One suggestion for further investigation was to analyze  
13 similar data sets. This was done at the third HMO  
14 site, Harvard Pilgrim, and those results have been  
15 presented before ACIP at a relatively recent meeting.  
16 The results of that investigation conflicted with the  
17 results from the screening analysis that was presented  
18 before the review committee.

19 Another suggestion from the review committee was to  
20 perform epidemiologic studies that were designed to  
21 control a priori for potential biases, better define

1 and assure quality of diagnoses, and to collect data on  
2 other factors. The thimerosal cohort study that I'm  
3 going to describe is an attempt to address those  
4 suggestions.

5 The purpose of designing this new study is to attempt  
6 to validate the previous VSD results and to overcome  
7 the potential health-care-seeking bias. Additionally,  
8 the new study will measure specific neuropsychological  
9 functions and status through individual testing of  
10 children. Whereas, the previous study evaluated  
11 clinical diagnoses of neurodevelopmental conditions  
12 using automated data and ICD-9 codes.

13 In designing this study, there are several challenges.

14 We need to define accurate and appropriate exposure  
15 groups; define sensitive, specific, and consistent  
16 outcome measures; and identify feasible study sites.  
17 Specifically, in regards to exposure considerations, we  
18 need to identify the critical timing of exposures, such  
19 as at birth, early in infancy, or later in infancy. We  
20 need to identify the levels of exposure and we need to  
21 identify and control confounders such as child and

1 family medical history, birth weight, socioeconomic  
2 status, home environmental, maternal IQ, and certain  
3 maternal prenatal behaviors.

4 The outcomes that we will look at in this study will  
5 focus on the ones with positive statistical  
6 significance in the earlier VSD study: psychological  
7 disorders, such as ADHD, language and speech delays,  
8 and other nonspecified developmental delays. There  
9 will also be an assessment of intelligence,  
10 achievement, child behavior, memory, visual motor  
11 functioning, and motor skills. The specific tests  
12 designed to evaluate those components have yet to be  
13 selected.

14 Considerations for selection of the study site, or  
15 sites, include access to a sufficiently large cohort of  
16 eligible children. We need to have good records for a  
17 vaccine lot and manufacturer so that we can adequately  
18 -- accurately assess the thimerosal content they may  
19 have been exposed to, we need to know the actual  
20 vaccines that were administered, and we need to assure  
21 that similar vaccination policies and health care

1 services are offered at each site so we don't come up  
2 against the same health-care-seeking bias that was a  
3 difficulty in the previous study. We have not yet  
4 identified the actual site or population. We may end  
5 up using the Vaccine Safety Datalink sites. We may  
6 expand that to other managed care organizations. We  
7 are also exploring the possibility of using the  
8 randomized cohorts from the Italian and Swedish  
9 acellular pertussis trials. It remains to be seen.  
10 Issues yet to be resolved include the required sample  
11 size, the extensive variability in thimerosal exposure  
12 within the study population, the availability of  
13 children who received a birth dose of hepatitis B  
14 vaccine, and the other number of children with zero  
15 exposure.  
16 We will have a -- it will be a stratified random sample  
17 and we will stratify by age, sex, health care site, and  
18 thimerosal exposure. Children six to eight years of  
19 age will be eligible for study participation. We've  
20 selected this age group for a number of reasons.  
21 Pragmatically, this is the critical period when

1 decisions are being made about school placement and the  
2 need for special educational services.

3 Neurodevelopmental is relatively stable, there is good  
4 normative data for the neuropsychological tests for  
5 this age, and most children of this age are able to  
6 perform the neuropsych tests.

7 Okay. So when do we expect to accomplish what? Well,  
8 by mid-March, which is not too far away, we will have  
9 reviewed all of the -- well, the relevant literature,  
10 we will be consulting with internal CDC and ATSDR  
11 experts, we will have the first draft of the protocol  
12 written, and we will have an internal review of that  
13 protocol and rewrite of that protocol based on  
14 comments. By the end of the month, we will distributed  
15 the protocol to a group of independent external  
16 reviewers and then bring them in to actually have a  
17 meeting to discuss this. The meeting looks like it is  
18 going to be March 26th and 27th here in Atlanta. It  
19 will be an open meeting but with limited seating, and  
20 we have not yet formed -- we have not yet asked the  
21 reviewers for participation in this but that is ongoing

1 right now.

2 Then continuing on with this time line, by mid-April,  
3 we expect to have the final protocol submitted for --  
4 to NIP, and following that time, we will bring in an  
5 independent research contractor to conduct this study,  
6 submit to IRB protocols, develop standardized data  
7 collection tools, and begin.

8 Any questions?

9 **DR. MODLIN:** Questions or comments for Dr. Mootrey?  
10 Dr. France?

11 **DR. FRANCE:** I just thought I would bring to your  
12 attention -- This is Eric France from Kaiser Colorado -  
13 - that -- what jumped out at me when you focused on the  
14 six- to eight-year-olds, that is, if you do look at for  
15 managed care organizations in the United States, to  
16 have information on lot number and eight-year-olds,  
17 it's probably only one of 10 children who actually were  
18 born in a managed care organization that will still be  
19 a member six to eight years later for which they would  
20 have the information on lot number. So you might find  
21 it challenging to find the sites here in the United

1 States where you have that sort of continuity so that  
2 you have that high degree of record-keeping on  
3 manufacturer information.

4 **DR. MOOTREY:** Yeah. And we recognize that as a  
5 challenge. However, using an age younger than this,  
6 the test administration would be more difficult. So  
7 trying to use the age group, the youngest age group in  
8 which we could really have good testing and still have  
9 accurate information on vaccinations administered, yes,  
10 would be a challenge. And that's one of the reasons I  
11 said we're looking at different populations and may go  
12 beyond the Vaccine Safety Datalink, actually surveying  
13 different managed care organizations, to see exactly  
14 what kind of records they do have available.

15 **DR. MODLIN:** Yes?

16 **MS. REDWOOD:** Lynn Redwood again. I just had one quick  
17 suggestion.

18 You mentioned critical timing of exposures, and I would  
19 like to ask that you also include in there some  
20 question about whether or not the mother had been  
21 exposed to Rhogam during the pregnancy. When you look

1 at critical timing, prenatal exposures are very  
2 important. And with 15 percent of the population being  
3 Rh-negative, I think that would be a very important  
4 variable to include in your data, because those  
5 exposures occurred two, three, sometimes four times  
6 during the pregnancy, as well as postnatal thimerosal  
7 exposure.

8 **DR. MOOTREY:** Yes. As we have not yet developed the  
9 questionnaire that will go along with this, there's  
10 quite a bit of opportunity for adding additional  
11 questions.

12 **DR. MODLIN:** Neal Halsey?

13 **DR. HALSEY:** Yeah. Neal Halsey from Johns Hopkins  
14 University. I would like to comment on both of the  
15 presentations.

16 I think the studies that are being planned will go a  
17 long ways to answer the questions that we did not have  
18 answers to when the concern arose back in July of '99.

19 So I applaud everybody for the effort that's going  
20 into this. But I do think there's one factor I didn't  
21 hear discussed in either of the two approaches that was

1 of concern to many of us, and that is, the background  
2 level of exposure varies considerably for the  
3 methylmercury and the EPA estimates were that, I  
4 believe, seven percent of the pregnant women in this  
5 country have had a background level of methylmercury  
6 exposure that exceeded the EPA guidelines. I didn't  
7 hear in your presentation a careful analysis,  
8 retrospective history from the mother of -- to estimate  
9 what that methylmercury exposure was, which will also  
10 vary geographically around the country. So the concern  
11 was particularly with those infants.

12 And I didn't hear, Carole, in your presentation, the  
13 need for studies to look at whether or not there's an  
14 additive effect of the ethylmercury exposure on top of  
15 the methylmercury. I heard comparison. Now, I could  
16 have missed it in both of these, but I didn't hear  
17 that. And to me, I think that's an important factor  
18 and a very important variable in trying to assess  
19 whether or not there is concern about adding this  
20 ethylmercury exposure on top of that small percentage  
21 of women who are already loaded with methylmercury at

1 the level that EPA was concerned about.

2 **DR. MOOTREY:** We did intend to include in our  
3 questionnaire an assessment of consumption -- fish  
4 consumption or other exposure to methylmercury,  
5 recognizing that six to eight years later, a food  
6 recall may be somewhat limited, but we were going to  
7 make an attempt to obtain of that information.

8 **DR. MODLIN:** Carole?

9 **DR. HEILMAN:** Yes. Although I've talked about what the  
10 protocols are being considered right now, especially in  
11 the macaque study, what I didn't say is protocol two  
12 and three are being -- at least we're going to hesitate  
13 on moving on them exactly right now until we get some  
14 initial information to see where -- what are the  
15 pharmacokinetics of the two. There very well may be  
16 that there's a reason to consider the additive part of  
17 that and we'll bring it up to the group. So it's still  
18 open for possibilities.

19 **DR. MODLIN:** Peter?

20 **DR. PARADISO:** Peter Paradiso.

21 You commented that the goal was to validate the VSD

1 study results, but I didn't hear that it was to  
2 validate the Harvard Pilgrim study results, which were  
3 in some cases not just non-confirming but quite  
4 strikingly different. If I remember correctly, the  
5 effects in premature children and some of those effects  
6 that might not have been expected may suggest not a  
7 causal relationship. I was just wondering why you  
8 chose --

9 **DR. MOOTREY:** I didn't mean to leave them out. They  
10 actually were the third VSD site and they're now part  
11 of the VSD. So I guess you could say, which part of  
12 the VSD study would we end up validating?

13 **DR. MODLIN:** Gina, if you contact either the Pro's  
14 Network or a group of similar practicing physicians or  
15 pediatricians who are research-oriented, you may find a  
16 number of practicing pediatricians who have stable  
17 populations and excellent records regarding  
18 immunization going back as long as six or eight years  
19 or even longer. So maybe expanding beyond just the  
20 obvious HMO's with large databases might be worthwhile  
21 for you. It's just a suggestion, but it would be yet

1 another source to get at the issue of good record-  
2 keeping over a long period of time.

3 **DR. MOOTREY:** Thank you.

4 **DR. MODLIN:** Yes, Dr. Mahoney?

5 **DR. MAHONEY:** Martin Mahoney.

6 I agree with you, this study you're proposing is  
7 fraught with many methodologic land mines. A couple of  
8 suggestions for your consideration.

9 One, I think you're going to need to attempt to control  
10 for this medical-seeking -- potential medical-seeking  
11 bias that your reviewers in the past have brought up  
12 there for you, you're going to need to look at use of  
13 medical care services and validate that information  
14 that the parents provide. So it takes you back again  
15 to a good information source. You might want to  
16 consider a military population, a stable military  
17 population where they would have good records on  
18 dependents, at least for an extended period of time as  
19 a possible source for doing -- a possible cohort for  
20 doing this thing.

21 **DR. MOOTREY:** Thank you.

1       **DR. MODLIN:** Thank you. Other questions or comments  
2       for Dr. Mootrey or Dr. Heilman? Roger, are we --  
3       Where's Roger Bernier?

4       **DR. BERNIER:** Right here. I think that -- There are no  
5       other aspects to our presentation other than to remind  
6       anyone if they are aware of any other studies underway  
7       or if they have other further suggestions, please  
8       contact us at the break or during other times of the  
9       meeting.

10      **DR. MODLIN:** Terrific. Thanks. It's been a good  
11      session. We're running a little early. We'll take a  
12      break and reconvene at 4:15.

13                   (RECESS FROM 3:44 P.M. TO 4:16 P.M.)

14      **DR. MODLIN:** Could I please ask everyone to be seated?

15  
16      The next item on the agenda, I think, will be a  
17      presentation involving the details of the type 1 sabin  
18      strain polio outbreak in Hispaniola that's occurred in  
19      the latter half of last year. It was a very intriguing  
20      event and I think will be interesting for all of us to  
21      hear.

1 Dr. Sutter is going to open the presentation and we'll  
2 have subsequent Dr. Olen Kew and Dr. Ciro de Quadros  
3 making presentations. I'm going to ask that we hold  
4 questions and comments until all three have presented  
5 and then we'll open the topic open for discussion after  
6 all three have had a chance to present.

7 Roland?

8 **DR. KEW:** Thank you very much, John.

9 Good afternoon. I'm happy to be back. It seems like  
10 the meeting is getting bigger every time I come back  
11 here. Today we would like to update on the outbreak of  
12 poliomyelitis in the Dominican Republic and Haiti. And  
13 what we would like to do is to give you an overview of  
14 the epidemiology, the control measures, the virologic  
15 data, and then at the end also put it in a bigger  
16 prospective and give you just a couple of slides of a  
17 progress report on polio eradication and talk about the  
18 implications of this outbreak.

19 We are very fortunate to have Dr. Ciro de Quadros here,  
20 the Director of the Division of Vaccines and  
21 Immunizations of the Pan American Health Organization

1 who will lead off. Dr. Olen Kew, Chief of Molecular  
2 Virology Section at CDC will follow. And finally, I  
3 will go.

4 So without any further ado, Dr. de Quadros.

5 **DR. de QUADROS:** Thank you very much, Roland. I would  
6 like to thank you very much, the ACIP for inviting us  
7 to participate and to relate to you some of the data  
8 that we have already collected in this outbreak. And  
9 this is really a result of a very close cooperation of  
10 the Pan American Health Organization and the Centers  
11 for Disease Control, which I think really translates  
12 what really the Pan American Health Organization is,  
13 which is the combination of all knowledge that we can  
14 have here in this region. I think this is a good  
15 demonstration of that Pan American in this recall.  
16 The background that we have is that the last case of  
17 polio in the Dominican Republic was in 1985. In Haiti,  
18 the last case was in 1989. And as you all know, the  
19 last case in the Americas was in Peru in 1991. And in  
20 1994, after intensive work by the International  
21 Certification Commission and National Certification

1 Commission, the Americas were certified as polio-free,  
2 meaning that the Commission declared that there was no  
3 indigenous transmission of wild poliovirus in the  
4 Americas. And then in 1991, we had a case in the OR,  
5 which we call it a compatico case. It had a sequelae  
6 which was typical of polio but had no specimen  
7 collected. So it became classified as compatico, but  
8 later on was discarded by the National Commission and  
9 International Commission because it did not fulfill all  
10 the conditions.

11 In the Dominican Republic between '83 and '93, there  
12 was over 60 million doses of OPV applied in National  
13 Immunization days and mopping-up campaigns. In 1987  
14 and '88, there was a national (inaudible) in which over  
15 300,000 houses were visited, 458 cases of acute flaccid  
16 paralysis found, and none was compatible with  
17 poliomyelitis.

18 The coverage and number of cases shown in this  
19 transparency or in this slide, coverage has remained  
20 about 80 percent over the last few years, which drops  
21 in '91, '92 and '98, '99, and the last case, as you

1 saw, is there in 1985.

2 In Haiti, the situation is quite different. As you  
3 know, Haiti suffered major problems with the whole  
4 government, with embargo during the several years, and  
5 the program immunization really has deteriorated  
6 considerably from what it was several years ago. There  
7 are years even that we did not have actually  
8 vaccination in the country. There was no polio  
9 reported in (inaudible), but coverage was, as you can  
10 see, dismal low -- below 50 percent.

11 If we look at the proportion of districts in those two  
12 countries for which we have data, the proportion of  
13 districts with coverage below 80 percent, you can see  
14 that basically the majority of districts in the  
15 Dominican Republic for which we have data have very low  
16 coverage with few exceptions in 1993 to '95 and that's  
17 were last districts with low coverage, but this, I  
18 think, shows to you the very poor level of coverage in  
19 the two countries.

20 If we look at some of the indicators for --  
21 surveillance indicators, in this one we show the

1 proportion of notification sites reporting weekly, you  
2 can see that in the Dominican Republic, the situation  
3 was a little bit better than in Haiti, but there was a  
4 deterioration of those indicators over the last few  
5 years. This was a phenomenon that we saw in many other  
6 countries in the Americas and here was really watched  
7 in others. And reflecting part also the complacency  
8 because of (inaudible) for many years.

9 If we look at the acute flaccid paralysis rate per  
10 100,000 children under 15, which is basically one of  
11 the best indicators to monitor surveillance and the  
12 expected minimum is one per 100,000 per year, you can  
13 see that in both countries, that indicator was not  
14 really up to par. So surveillance has deteriorated  
15 definitely in the two countries.

16 The cases of acute flaccid paralysis properly  
17 investigated with the collection of inadequate sample  
18 for the laboratory. Also, you can see Haiti,  
19 basically. We didn't have the specimens. While in  
20 Dominican Republic, we had a period in that we had a  
21 good proportion of cases with specimens and, again, in

1 the last two years in deterioration.

2 The proportion of enterovirus isolations from the two  
3 countries, in most of these specimens initially are  
4 sent to a reference laboratory for the Caribbean area,  
5 which is in Caribbean Epidemiology Center in Tobago,  
6 and you can see the proportion of enterovirus isolation  
7 was mostly, with exception of 95 to 97, we see some  
8 expected international disturbance of between 10 and 20  
9 percent of isolates of enterovirus.

10 Now, if you look at the situation then of the present  
11 outbreak and we look at the year 2000 and the first two  
12 months of the year 2001, we had 12 confirmed cases in  
13 Dominican Republic. There are still several cases that  
14 are pending investigation. About, I think, 18 or 19  
15 cases that are pending investigation and several have  
16 been already discarded, but were 12 confirmed cases now  
17 in the Dominican Republic starting in July, and the  
18 last case was in the first two days of January. It was  
19 the 2nd of January, that last case.

20 This was the rate and case by age group. Most of the  
21 cases are in the group one to four years of age and

1 most of them are also unvaccinated. The coverage in  
2 the areas in the Dominican Republic and Haiti were the  
3 lowest in the whole country.

4 In Haiti, we have so far only one isolate from a  
5 patient. It was in August, and some other cases have  
6 been discarded and there are still three cases pending.

7 This case in week 35 had acute flaccid paralysis but  
8 had no specimen collected. So we keep that as a  
9 compatico case for further studies to be done, but so  
10 far we have just that single case in Haiti.

11 These are the location of the cases. The case in Haiti  
12 was in the northern part in Cape Haitian. There are  
13 other cases in the Dominican Republic sort of in the  
14 many roads that goes from Santa Domingo into that area  
15 and then you had around those places.

16 After the cases were discovered, there was intensive  
17 search for cases in both countries. In Haiti, those  
18 areas that are shaded are still to be searched. They  
19 were searched and we found acute flaccid paralysis  
20 cases. Most of them had specimens negative. And then  
21 in the areas which the dots, they have been already

1 heavily searched and no cases of acute flaccid -- no  
2 cases of acute flaccid paralysis were found. Searches  
3 are still going on in this part of the country. But so  
4 far, all the searches in Haiti did not uncover any  
5 additional case.

6 These are the confirmed cases in the Dominican Republic  
7 along this road. This is the last case detected in the  
8 beginning of January. It's quite interesting, because  
9 as I'm going to refer later, in December, 16, 17, and  
10 18, they had a very heavy national immunization days in  
11 the Dominican Republic with the vaccination of 1.2  
12 million children, which is more or less the cohort of  
13 one to five. And this kid who was the only kid not  
14 vaccinated in the village where he lived -- He lived in  
15 sort of a hill. He lived with his grandmother and the  
16 grandmother didn't bring the kid. It was an area also  
17 that two vaccination teams thought that the area  
18 belonged to the other one and that house was left. So  
19 it was a quite interesting situation.

20 This is the overall distribution of all cases that have  
21 been confirmed. The cases in red are the cases

1 confirmed. Then the cases that are already discarded  
2 and then some pending cases that the results from the  
3 lab are not yet available.

4 And for the year 2001, we had one confirmed case, as I  
5 mentioned, and then there are several cases, 14 cases  
6 that are pending in the same area of the outbreak.  
7 These are acute flaccid paralysis cases pending, the  
8 results from the laboratory. So that's the present  
9 situation in that regard.

10 And this just summaries the whole laboratory work. We  
11 had -- In the Dominican Republic, we had 12 cases and  
12 nine of them were -- the virus was isolated from the  
13 case itself and three of the cases were confirmed  
14 because the virus was isolated from close contacts. So  
15 there were actually 17 isolates of the derived virus  
16 but only 12 paralytic cases. And there were some  
17 Sabins also found, some known polyenteroviruses, 22,  
18 several negative, as you can see, about 67 negative, 11  
19 from patients, and 56 from contacts, and there are  
20 still some pending cases, 68 pending cases. In Haiti,  
21 just one case confirmed, one derived case. Still, we

1 have some -- some three cases pending results of the  
2 laboratory and -- and several were negative, both from  
3 cases and contacts.

4 The activities that followed the discovery of the cases  
5 which were caught by the normal -- you know, whatever  
6 surveillance they had at that

7 stage -- Actually, it was quite surprising because the case  
8 in Haiti, it's about three hours' walk from a dirty  
9 road. So it's very difficult to access that. Even  
10 with that situation, the case was discovered, these  
11 (inaudible) taken, and the case was reported. So in  
12 the very poor surveillance environment, still we could  
13 get that. And the same with the cases in the Dominican  
14 Republic. Initially, the cases were suspected to be  
15 toxication because that's an agricultural area where  
16 there is lots of agri-toxics being used. So there was  
17 lots of investigation in that respect, but also they  
18 had the stool samples for collection.

19 So in both countries, there was intense active search  
20 in most of the country. There was environmental  
21 sampling that was done in collaboration with the group

1 from the CDC. Those samples which were from both  
2 countries are now being sent to a laboratory for  
3 examination and see the extent of transmission -- of  
4 the circulation of the virus. As I said, there was a  
5 mass campaign in the Dominican Republic in December  
6 with 1.2 million children vaccinated which was  
7 basically 100 percent. There is a second mass campaign  
8 that just finished this Sunday. They held it Friday,  
9 Saturday, and Sunday. The data that I got last night  
10 is provisional because still data is coming from the  
11 field that tells us that already, yes, the data had 1.1  
12 million vaccinated. We think it will be approximately  
13 the same number. Then there will be a third campaign  
14 held in April for that.

15 In Haiti, there is a mass campaign that is going on  
16 today, at this moment. It's a very difficult country  
17 to work at this moment. They tried a mass campaign in  
18 January. The coverage was below 30 percent. Heavy  
19 rains all over the country, very poor planning, and now  
20 they are doing a campaign that is a rollover in  
21 different districts and hope that the situation will be

1 improved, but it's a very difficult country to work at  
2 this moment. You know it's a country that's just got  
3 an official government taking over and the parallel  
4 government also being nominated. So it's a very  
5 difficult situation.

6 So the main implications as we see for the Americas and  
7 possibly also for the rest of the world is that we are  
8 now -- the CDC is reviewing now all the Sabin isolates  
9 from '94 to the year 2000 to determine if this had  
10 happened before and went undetected because sequences  
11 were not done as a routine. We continue an active  
12 search now, not only in the two countries, but we are  
13 identifying high-risk areas in other countries in Latin  
14 America and searches will be conducted. Of course,  
15 this was the lesson to every country, that they have to  
16 maintain a very high level of acute flaccid paralysis  
17 at all times and also maintain a very high level for  
18 OPV coverage in all countries to continuation of the  
19 NID's, and we have now to wait for further research  
20 before we decide on discontinuation of vaccination and  
21 how that will be done. I think that Dr. Sutter will

1 address this issue in his presentation.

2 Thank you very much.

3 **DR. KEW:** I very much thank the Committee for inviting  
4 me to present and tell a little bit about the virologic  
5 side of this very interesting outbreak, and it really  
6 started last summer when Victoria Morris Glasco from  
7 the (inaudible) lab notified us that we had a type 1  
8 poliovirus, first from the Dominican Republic and then  
9 later from Haiti, where there was considerable interest  
10 on the part of the epidemiologists whether this was a  
11 wild virus or a vaccine-related virus.

12 And with her constant prodding, we decided we better  
13 sequence these viruses, even though we had, not as a  
14 matter of routine, been sequencing vaccine-derived  
15 polioviruses. It was unusual, in fact, type 1 vaccine-  
16 derived polioviruses associated with AFP cases.

17 So with that, we proceeded to sequence, and the first  
18 one had about 18 neucleotides different from Sabin 1,  
19 which was much higher than what you normally see from  
20 both healthy children who have received vaccine or VAPP  
21 cases. And the second one had 24 neucleotides

1 different from Sabin 1 but, more importantly, many of  
2 those nucleotides were shared in common between the  
3 two, indicating that there was some kind of  
4 epidemiologic link between a case in the Dominican  
5 Republic and a case in Haiti occurring both in the same  
6 summer.

7 So we then proceeded to get a number of other isolates.

8 We've got a large number now from the (inaudible)  
9 laboratory and they, as a group, have about 97 percent  
10 VP1 sequence identity to the Sabin Type 1 OPV strain.  
11 That's about three percent sequence difference, which  
12 is well above the threshold we normally see. The  
13 isolates are unrelated, less than 85 percent VP1  
14 sequence identity to type -- wild type 1 polioviruses.

15 I'll tell you a little bit more about what that 85  
16 percent really means. The unrelated two viruses  
17 previously found in Hispaniola or any other part of the  
18 Americas are unrelated to wild type 1 polioviruses  
19 currently found in other parts of the world. The  
20 viruses formed two closely-related clusters, and I'll  
21 show you a tree in a moment of that. The single

1 isolate from Haiti represents one cluster and the 18  
2 isolates from the Dominican Republic represent a second  
3 cluster.

4 The interval that we're going -- that we sequenced is  
5 VP1, which is about 15 percent of the genome. This is  
6 for the routine characterization. The shaded areas  
7 here are the antigenic sites which have also changed in  
8 this virus.

9 This is the relationship between the Sabin 1 from Haiti  
10 and Dominican Republic to wild type 1 polioviruses.

11 Now, what's circled here -- I hope you can see the  
12 laser dot -- are the isolates we previously received  
13 from Haiti and the Dominican Republic. So this is the  
14 wild type 1 genotype previously indigenous to that  
15 island.

16 Related to that was viruses found in Brazil. This is  
17 close to the last virus from Brazil. This is the last  
18 type 1 -- wild type 1 from Central America and Mexico.

19 And then over here are the viruses from the Dominican  
20 Republic and Haiti, the first two isolates, and then  
21 this is Sabin 1 right here. Then this is Columbia in

1 1991, the last isolate from Columbia; and then these  
2 are wild type 1's from all over the world, Sudan, Chan,  
3 Liberia, Pakistan, China, Bangladesh, Cameroon, Guinea,  
4 Nigeria, and so on, essentially a sampling of the  
5 contemporary type 1 lineages and genotypes found  
6 worldwide. Again, the viruses from Haiti and the  
7 Dominican Republic clustered tightly in VP1 sequence  
8 with Sabin 1.

9 Now, these distances, apparently impressive as they  
10 are, really are a great underestimate of the true  
11 genetic distance between this cluster and the rest of  
12 these because of saturation of variable sites. So the  
13 85 percent really represents a great underestimate of  
14 the true genetic distance between this cluster here and  
15 the rest of these. So these are really quite, quite  
16 distinct.

17 This is another tree where we have the relationships  
18 between Sabin 1, the Haitian -- single Haitian isolate,  
19 and the cluster from the Dominican Republic. And you  
20 could actually the topology of this tree by moving the  
21 Sabin 1 over here, putting it at the root and putting

1           it out here somewhere, because it truly is parental to  
2           these. And then you can see that the Dominican viruses  
3           form a genetic cluster quite separate from the Haitian  
4           lineage, but both of them are quite closely related to  
5           each other and to the Sabin 1. And you can also see a  
6           tendency for geographic clustering of these isolates.  
7           So these are from Santiago here. This is La Vega.  
8           This is Espillat. This is Santa Domingo and another  
9           one from Santa Domingo, and these two from Santa  
10          Domingo don't look -- even though they're separated  
11          only by about five or six weeks really are distinct  
12          lineages.

13         Now, these viruses really are now wild poliovirus by  
14         any definition other than their immediate ancestry.  
15         They have similarities to wild poliovirus in the  
16         capacity for sustained person-to-person transmission.  
17         They have a significant paralytic attack rate. I think  
18         it's difficult to actually give a hard and fast number  
19         to that, but it's certainly significant. There is  
20         reversion at the critical attenuating sites. The  
21         single most important attenuating site for type 1

1 poliovirus is in the five prime untranslated region  
2 representing from (inaudible) where it's representing  
3 about half of the total  
4 attenuating -- attenuation phenotype, and that site has been  
5 reverted in the all the isolates we've so far  
6 sequenced. They also are non-vaccine-like and are  
7 antigenic properties so the standard antigenic test,  
8 which are also used to distinguish vaccine viruses from  
9 wild, would pick these up actually as non-vaccine-like  
10 or, presumably, wild. These viruses also replicate at  
11 super-optimal temperatures. 39.5 is what we tested.  
12 It's about a thousand times higher titer than your  
13 standard Sabin 1 at that temperature for the same input  
14 titer of virus. So it's, again, behaving like the old  
15 RCT 40 test, if any of you are familiar with it. It's  
16 very much like a wild poliovirus.  
17 They also undergo recombination with non-polio  
18 enteroviruses, very like wild polioviruses do as they  
19 circulate in the community. They keep picking up  
20 sequences from their evolutionary cousins of the  
21 poliovirus enteroviruses, and so have these viruses.

1 Now, we've estimated the times of circulation of these  
2 vaccine-derived polioviruses and we've done this by  
3 looking at the VP1 sequence differences among the  
4 clinical isolates that Victoria has sent us, and the  
5 rate of poliovirus VP1 evolution is approximately three  
6 percent synonymous substitutions per year. That's  
7 about one to two nucleotide substitutions per week.  
8 This is the most rapidly evolving virus that we know of  
9 in nature.

10 And the rate of evolution for type 1 poliovirus appears  
11 to be remarkably uniform and similar for different  
12 genotypes. So using this value of three percent, we  
13 estimate that the originating, initiating OPV infection  
14 occurred somewhere around August, 1998. That  
15 divergence of the Dominican and Haitian lineages  
16 occurred somewhere around June, 1999.

17 Now, there are some assumptions for these calculations  
18 and they are, at this point, still fairly crude. We  
19 assumed that there's a constant rate of fixation  
20 synonymous with VP1 substitutions over this time  
21 period. That's an assumption that is unproven, but

1 it's certainly reasonable and based on other  
2 observations. Also, it's on the assumption that the  
3 VP1 evolution rate for the Dominican and Haitian  
4 lineages is similar to the rates determined for other  
5 circulating wild polioviruses. That's an unproven  
6 assumption, but at this point it would be difficult  
7 with the current sequence database that we have to  
8 actually internally calibrate the evolution rate simply  
9 because we have a short observation time, only a few  
10 months, about six months. And mutation is stochastic.

11 It's kind of a plasson process. So right now, we have  
12 fairly wide confidence intervals. We can narrow those  
13 down by sequencing complete genomes and narrowing those  
14 confidence intervals, but they're still going to be  
15 fairly wide because the period of observation is  
16 necessarily short, and with Ciro's effort, it's going  
17 to remain short.

18 This is another form of the tree where we actually now  
19 have a scale of time, and what this is, is now scaled  
20 to some fraction of -- this is the year 2000 and some  
21 fraction of the year, and these essentially are the

1 branches -- the tips of these branches are now  
2 essentially ordered in chronological order. And we  
3 estimate from this, based on the previous assumptions  
4 that the rate is about three percent synonymous  
5 substitutions per year, that the Haitian lineage  
6 diverged from the Dominican lineage about July, 1999,  
7 and that the Dominican lineages started to elaborate  
8 from some common ancestor around the spring of 2000.  
9 These viruses are also recombinant, as I had mentioned.

10 White would indicate Sabin 1 sequences only. The  
11 single Haitian isolate has a recombination crossover  
12 site at this point. This is the capsid region here.  
13 This is the five-prime untranslated region right about  
14 at this position. It is an important site, that  
15 determines the attenuated phenotype, or largely  
16 determines the attenuated phenotype, and then these are  
17 nonstructural proteins in this interval here, in 2A,  
18 2B, 2C, 3A, and so on. And the nonstructural protein  
19 sequence is derived from some other -- not necessarily  
20 poliovirus sequences, but almost certainly a species  
21 seen in non-polio enterovirus. The Dominican Republic

1 isolates share a little bit of this sequence, but then  
2 there's been a superimposed recombination with a  
3 separate different non-polio enterovirus indicated by  
4 the blue color. So these are recombinants.

5 Now, this allows us then to make a specific  
6 hybridization probe, which will pick up viruses which  
7 are Sabin-derived in this interval, Sabin sequences in  
8 this interval, but have non-Sabin sequences in this  
9 interval, and we can have a rapid screening for the  
10 recombinant viruses.

11 Now, there are other examples of circulating vaccine-  
12 derived polioviruses. One example is in Egypt where  
13 viruses which had originally been thought to be wild  
14 type 2 polioviruses were sent to us by Dr. Tari Neghee  
15 of the Vaccine Lab in Cairo, and when we sequenced  
16 them, it turned out that they were Sabin-derived but  
17 quite diverged from Sabin, about four to six percent  
18 diverged. And the last wild poliovirus isolate from  
19 Egypt was seen about 1979.

20 What we observed then was continued evolution from the  
21 period of 1988 to 1993, but we could extrapolate that

1 using assumptions rather similar to what we had used  
2 before for the Haitian viruses, but with internal  
3 calibration because we had a longer period of  
4 observation. We estimated that circulation had  
5 initiated from a single event starting about 1982. And  
6 there's a similar observation in Guizhou, China, that  
7 Jon Rabe [phonetic] and his colleagues have described  
8 briefly in the Chinese literature.

9 As Ciro had mentioned, there is now surveillance for  
10 circulating vaccine-derived polioviruses. Sequence  
11 studies from other PAHO countries did not find any  
12 highly divergent isolates up to 1997. What was  
13 conspicuously absent were isolates from Hispaniola,  
14 which we very much wanted to have but they were not  
15 able to obtain for reasons that Ciro had just told you.

16 They weren't available. Analysis of more recent PAHO  
17 isolates in progress, there are on matches for the  
18 Hispaniola viruses found so far in other countries in  
19 the Americas, and virtually all of the isolates have a  
20 greater than 99 percent VP1 sequence to the respective  
21 OPV strains.

1           There are also sequence studies ongoing of current  
2           vaccine-derived isolates from AFP cases from all  
3           regions. We've already started. We've got a big  
4           shipment from the Eastern Mediterranean region  
5           representing all their Sabin strains or AFP cases in  
6           our collection at the present time.

7           I think that summarizes what I have to say, and I think  
8           I'll turn the rest of the presentation over to my  
9           colleague, Roland Sutter.

10          **DR. TOMPKINS:** John, could I ask a question while we're  
11          waiting?

12          **DR. MODLIN:** Yes. Lucy?

13          **DR. TOMPKINS:** Lucy Tompkins.

14          Do I have it right that what you think has happened  
15          molecular-epidemiologically is that the vaccine strain  
16          reverted sometime in July -- around July of '99 in  
17          Haiti and then its derivatives, which are more or less  
18          still revertants -- in other words, have they  
19          accumulated further reversions to virulents and then  
20          went onto the Dominican Republic? Is that how it goes?

21          **DR. KEW:** Our estimates, and they're only estimates at

1 this point, would be that the initiating event was as  
2 an OPV dose given to a child in mid-1998, that the  
3 environment surrounding that child, that is, the  
4 coverage rates in that community were such that the  
5 virus could transmit efficiently to the next child and  
6 that child could then initiate another infection. And  
7 under such events, a continued evolution of the virus  
8 permitted increased replicated fitness of the virus  
9 such that it could initiate person-to-person  
10 transmission which continued along a single common  
11 lineage to what we're seeing now until about mid-1999.

12 Then it split into two lineages, a Haitian lineage,  
13 which may not be representative of all that was in  
14 Haiti by any means, and the observed Dominican multiple  
15 lineages, but we think it was from single initiating  
16 event.

17 **DR. TOMPKINS:** Is the virulence of the Dominican  
18 isolates any different from the one Haitian isolate  
19 that you have, just on the basis of what you know so  
20 far on those trees?

21 **DR. KEW:** We can't -- We cannot tell you very much

1 about the virulence in children other than that the  
2 attack rate in the Dominican Republic, where  
3 surveillance is quite good, appears to be comparable to  
4 what you had with type 1 wild, and Ciro could address  
5 that, I think, in more detail.

6 Experimentally, they have been tested in transgenic  
7 mice, a couple of them from the Dominican Republic, and  
8 they're quite virulent transgenic mice. There are  
9 additional tests ongoing in other laboratories which  
10 will include the Haitian virus. The relationship  
11 between experimental virulence in mice and what you  
12 actually see in humans is unclear, but it's certainly  
13 another indication that the virus is a hot virus. And  
14 that's predicted by the genetic properties of these  
15 viruses. They have the sequences which correlate  
16 strong with increased neurovirulence, both the Haitian  
17 and the Dominican.

18 **DR. SUTTER:** Thank you, Olen.

19 What I would like to do is actually start off with  
20 perhaps a little bit of good news, a progress report on  
21 the global polio eradication initiative.

1 You have heard a lot about the outbreak already, so I  
2 would will go through the next slides very quickly,  
3 some virology.

4 There are some unexpected findings and some immediate  
5 implications, which we already heard as well. I will  
6 talk a little bit about stopping polio vaccination  
7 options and I will talk a little bit about IPV, what is  
8 the decision-making process in terms of who will be  
9 making these decisions and when these decisions need to  
10 be made for stopping vaccination. I will offer some  
11 conclusions.

12 In terms of global polio eradication progress report,  
13 in 1988, when we started, there were about 350,000  
14 cases occurring annually. Last year, in 2000, 2,599  
15 have been reported, and we don't think that this number  
16 will go up much more. We think it will be around 3,000  
17 when all the countries have reported. Last year, we  
18 had more than 7,000 reported. So this represents quite  
19 a significant drop.

20 Type 2 has not been isolated in more than a year. It  
21 was last isolated in northern India. So, hopefully, we

1 are done with one type, but there are still some areas  
2 in the world where surveillance is not that great. So  
3 we can't be certain at this point.

4 Just to give you an example of one country, this is  
5 India, and just looking at accurate flaccid paralysis  
6 cases with poliovirus isolation, from 1998 to 2000, you  
7 can see that we have seen a huge decline in the number  
8 of cases, from more than 1,900 cases here to 1,100  
9 cases here and 266 last year. You can also see that  
10 the virus is now pretty much focused in northern India,  
11 with just very, very few cases outside of Yutarpredesh  
12 [phonetic] and Pehar [phonetic].

13 In terms of surveillance, just indicated the countries  
14 that are nearing certification standard level  
15 surveillance in yellow, and you can see here that most  
16 of the world is getting yellow. And we have seen much  
17 progress in the African region as well, and I think  
18 next year if I were to show you this slide in a year,  
19 you would see lots of yellow in Africa as well.

20 You have seen and heard about the outbreak already, so  
21 I will not get into this or the virology. I think what

1 Olen already alluded to, we had examples where type 2  
2 did circulate and cause cases, but we had never had,  
3 before this instance, type 1. And clearly, type 1 is  
4 the most attenuated of the -- of the Sabin viruses.  
5 So, for us, that is a little bit unexpected or  
6 surprising.

7 Why the Dominican Republic? I think you heard about  
8 that as well. Coverage clearly was quite low in the  
9 most effective areas. I put the question mark behind  
10 Haiti because we only have one isolate. There may be  
11 another possible in Haiti, but coverage has been much  
12 lower, even than in the Dominican Republic, and it's  
13 still puzzling why that virus didn't take off and cause  
14 more cases in Haiti.

15 I think also we heard about the immediate implications.

16 Clearly, we need to maintain surveillance capacity,  
17 not only in the Americas but all the other regions and  
18 countries that are now polio-free. Immunization  
19 coverage must be maintained. There's clearly a price  
20 to be paid if not. We don't know whether this outbreak  
21 and circulation of this virus will affect the

1 certification of this region. I think the Global  
2 Certification Commission will look at the data and will  
3 have to come to a decision.

4 In terms of the global program, clearly, we need to  
5 cover our backs as well and while still moving as  
6 quickly as we can to eradicate wild poliovirus, we need  
7 to make sure that vaccine-derived virus will not emerge  
8 behind us.

9 We need clearly to do more research. At this point, we  
10 believe that this is a rare event. Although we can't  
11 be certain because we haven't looked at all the -- all  
12 the Sabin isolates from around the world to see whether  
13 we have other instances.

14 Why did this occur, what -- under what conditions, and  
15 how can we prevent it from occurring in the future?

16 Just in terms of what the options are for stopping  
17 vaccination -- And I just bit a little bit of slang  
18 here -- starting with cold turkey, and that's really  
19 after certification which has stopped, which is  
20 probably not the safest thing to do and, hopefully,  
21 nobody will advocate for that. The big bang is really

1 to have a global immunization day, not -- you do lots  
2 of national immunization days but do a global  
3 immunization day to maximize immunity and then stop.  
4 Other suggestions have been to go from a trivalent OPV  
5 to a bivalent because it looks like maybe type 2 is  
6 gone or nearing elimination. Maybe we could stop that  
7 part and see what happened with type 2 in the  
8 environment in these countries, and so on, and then  
9 move to a monovalent. Clearly, we can go from an OPV  
10 to an IPV, and some people are still advocating to go  
11 to a new vaccine, although that doesn't seem a very  
12 feasible option at this point given the time to test  
13 things and safety issues.

14 For some of us at least, we think that this outbreak is  
15 a wake-up call for us and provides us with some  
16 guidance how to stop, and we believe that OPV should  
17 stop after eradication. OPV not only causes vaccine-  
18 associated polio, but it also can re-emerge as we just  
19 have heard.

20 The cessation of OPV must be coordinated. OPV strains  
21 must also be contained. We cannot let them back into

1 the environment or into children. Clearly, we need  
2 high OPV coverage until cessation.

3 Some believe that the highest immunity that one can  
4 obtain is actually immediately after eradication, and  
5 so there is a trade-off with waiting or doing something  
6 else.

7 The role of IPV clearly -- or IPV has become more  
8 prominent again, and what is happening now, what we are  
9 seeing is that industrialized countries clearly move to  
10 IPV. They are starting to switch, as we have seen in  
11 the United States as well. So we see a two-class  
12 system emerging where industrialized countries go to  
13 IPV and developing countries stay with OPV. It's  
14 clearly an issue with feasibility for global IPV.  
15 Especially the manufacturing capacity is not here at  
16 the moment, and I think it would take between three and  
17 five years to actually gear up. So it's something that  
18 could not be done immediately.

19 There's also an issue of what schedule, sequentials, or  
20 combined, or IPV-only schedules. These need to be  
21 looked at. I think the IPV-only immunogenicity is

1 another issue. There's no country, no developing  
2 country at the moment, that uses an IPV-only schedule.

3 So we have very, very little information about IPV  
4 immunogenicity in developing countries. And in all --  
5 I think virtually all of the studies that look at IPV  
6 in developing countries, it was done in a situation  
7 where OPV was used very heavily and OPV's certainly, in  
8 most cases, did contaminate the IPV groups.

9 We need to worry clearly about the injection safety.  
10 Hopefully, with combination products, this would not be  
11 an issue. Of course, also the IPV used for outbreak  
12 control or whether one needs to have OPV in stock for  
13 outbreak controls.

14 So in terms of research issues, there are a couple.  
15 One is just the schedules as well. WHO is using a  
16 schedule of six, ten, and fourteen weeks. That doesn't  
17 work terribly well in developing countries. These  
18 children have a very high level of maternal antibodies.

19 So a schedule of two, four, and six months would work,  
20 but it would -- would entail that WHO changes the  
21 schedule.

1 I think the immunogenicity of IPV -- I think still  
2 needs to be looked at in developing countries as well,  
3 including mucosal immunity, simply because I think we  
4 never had a situation where we had clean groups to look  
5 at and to study. We don't know what coverage of IPV  
6 would be needed to limit OPV circulation in tropical  
7 countries.

8 Some countries with suboptimal coverage, what can we  
9 recommend for them? Do they need a combined schedule,  
10 a sequential schedule of IPV and OPV, or just continue  
11 with OPV?

12 There have been several meetings that the World Health  
13 Organization has convened in Geneva, and at the meeting  
14 in March, 1998, one of the recommendations was that OPV  
15 should stop and IPV can stop when there is, one,  
16 eradication of wild poliovirus, laboratory containment  
17 of polioviruses, and evidence that Sabin virus will  
18 circulate only for a limited period of time.

19 In terms of decision-making process, WHO would like to  
20 bring this issue and the solution -- have the solution  
21 endorsed by the World Health Assembly which is really

1 the governing body of the World Health Organization.  
2 And they're hoping to have an information paper this  
3 May to the WHA and then a discussion in 2003, and  
4 hopefully, by 2004, we'll be in a position to make  
5 recommendations.

6 So, just in conclusion, my favorite quote: "In battle,  
7 no plans survives contact with the enemy." And I think  
8 this outbreak has shown this again. Clearly, even in  
9 an eradication program, we need to continue with the  
10 research and we need to learn these lessons and apply  
11 them rapidly. We're not sure at this point to what  
12 degree the outbreak in the Dominican Republic and Haiti  
13 will affect the stopping strategy. We think it will,  
14 but further research is needed so that we have the best  
15 science that can drive this process.

16 Thank you very much.

17 **DR. MODLIN:** Olen, thank you. Also, thanks to Olen and  
18 Ciro for some eye-opening presentations.

19 We do have time -- I know that this is a subject that  
20 will generate an awful lot of interest, so let's get  
21 going. Sam?

1       **DR. KATZ:** Perhaps I missed it in Ciro's presentation,  
2 but what degree of sampling has there been in the  
3 Dominican Republic among non-ill children to see, is  
4 the virus circulating? As we know, you may have 100  
5 children excreting virus for one paralytic case, or  
6 200, or 1,000. Do we know anything about the  
7 denominator background?

8       **DR. de QUADROS:** There was not a national survey -- a  
9 national sampling survey in the population, but the  
10 contacts -- several contacts of cases, we collect  
11 specimens, and they are not so many. I think, all in  
12 all, no more than 200 contacts have been collected. So  
13 there was not a national sampling. The environmental  
14 samplings, there weren't -- they did give some  
15 information on the country as a whole, but not in the  
16 population itself.

17       **DR. KATZ:** So how many were there in those 200?

18       **DR. de QUADROS:** We got about, I think, eight with  
19 viruses. There are still some pending. I think there  
20 is about 50. I think 59 are still pending -- contacts.

21       **DR. KATZ:** Thank you.

1       **DR. MODLIN:** Stan?

2       **DR. PLOTKIN:** Well, I have two comments. One is that I  
3 would argue that if this type of occurrence is rare, it  
4 is only rare because coverage has been relatively high  
5 in those places where OPV campaigns have been done.

6 Because knowing the process of developing attenuated  
7 strains, it seems to me that as long as you have serial  
8 human passage, you will eventually arrive at virulent  
9 viruses. The problem -- well, not the problem, but the  
10 thing that's prevented that in most cases is that there  
11 has not been the extent of serial human passage as  
12 there was in the Dominican Republic, but in a  
13 circumstance where vaccination is dropping off for  
14 whatever reason, then the chances are going to be  
15 maximized for an excreted Sabin type 1 strain to -- or  
16 rather, any Sabin strain to lose it's attenuating  
17 mutations and become virulent again.

18 My second comment speaks to something that Roland said  
19 about the use IPV. Indeed, the prospect of furnishing  
20 500 million doses or so of IPV, it would be somewhat  
21 daunting at this point, but it's not totally out of the

1 question if it were planned. But the more important  
2 point, it seems to me, is that combination vaccines for  
3 the developing world has got to be the wave of the  
4 future. In other words, everyone of us wants to get  
5 the vaccines that we use in the U.S. into the  
6 developing world. And the way to do that, as in the  
7 U.S. for that matter, is with combined vaccines. If  
8 those combined vaccines contain IPV, the cost issue at  
9 least specifically for IPV disappears, and another  
10 advantage of that, which Roland knows better than  
11 anybody else, is that you get better immuno -- better  
12 seroconversion if you're using IPV and OPV together  
13 until such point as you decide you can stop OPV, in  
14 which case you still have the immunogenicity and  
15 protection of IPV.

16 **DR. MODLIN:** Thanks, Stan. Neal?

17 **DR. HALSEY:** A question for Olen Kew.

18 I mean, the hypothesis with regard to the origin of the  
19 virus that you put out is that a vaccine dose was given  
20 to a child that was then shortly thereafter transmitted  
21 to another child and another and another, and that at

1 some point it acquired the characteristics of also  
2 increased transmissibility and virulence. Is it not  
3 also feasible that this was a virus that was given to  
4 somebody who had a prolonged excretion and the  
5 mutations occurred in that immunodeficient individual for  
6 whatever period of time was necessary and then it was  
7 transmitted to somebody else and started those  
8 outbreaks? And is it possible that both the Haiti and  
9 the Dominican Republic isolates are two different  
10 origins? How firm are you in your belief that they  
11 really had a common ancestor?

12 **DR. KEW:** I'll answer your second question first  
13 because it's the easy one.

14 It's very clear that they have a common ancestor. They  
15 have too many common sequences that are not the normal  
16 attenuation reversion pathway. So we checked that out  
17 immediately. And also they have common non-polio  
18 enterovirus sequences in at least a small window  
19 indicating that they did have a common -- a  
20 recombinational history, too, which got largely  
21 obscured by secondary combination event.

1 We cannot exclude the possibility that there was a  
2 immune-deficient child or a person that was a  
3 participant or intermediate in this process, but we  
4 don't think it's a necessary hypothesis. It may be  
5 true, but we, at this point, have no way to determine  
6 one way or the other.

7 **DR. MODLIN:** Myron?

8 **DR. LEVIN:** Are these recombinant viruses readily  
9 neutralized by titer-specific antibody?

10 **DR. KEW:** Yes.

11 **DR. LEVIN:** Good.

12 **DR. KEW:** These viruses -- To answer your question in a  
13 little more depth, there is enormous antigenic  
14 variation among polioviruses for all three serotypes,  
15 but the range of that variation is limited. So the  
16 rate is high, but the range is low. What we're seeing  
17 now is the kind of evolution you see with the wild  
18 polioviruses. Once they've been essentially evolved  
19 away from the rather atypical Sabin immunogenicity back  
20 to a wild virus immunogenicity, they're very, very  
21 similar to other wild polioviruses and present no

1 additional threat because of their immunogenic  
2 properties.

3 **DR. LEVIN:** Yeah. Well, it goes to the question, would  
4 OPV be appropriate in preventing transmission?

5 **DR. KEW:** I don't think there's any question it would  
6 be.

7 **DR. LEVIN:** Yeah. Thank you.

8 **DR. MODLIN:** Olen, why you're at the microphone, could  
9 I just -- a follow-up question on what Lucy asked  
10 earlier, and I think I also got a -- Obviously, these  
11 viruses have lost the attenuating mutation in the five-  
12 prong non-coding region, but there are, as you  
13 indicated, other attenuating mutations for type 1 that  
14 have been well-identified and some of which are in VP1.  
15 Have those been lost as well?

16 **DR. KEW:** We haven't gone through the complete catalog  
17 of changes yet but, yes, a number of them have been  
18 lost as well, and there are some also attenuating  
19 changes in the non-structural protein genes. Of  
20 course, those have been essentially switched out with  
21 nice, fresh --

1 DR. MODLIN: With the recombinant.

2 DR. KEW: -- yeah, recombinant circulating viruses.

3 DR. MODLIN: Those have been recombined.

4 I guess the second question is, the use of the  
5 transgenic mouse model makes an awful lot of sense  
6 because it's easy to do, but, of course, we've got the  
7 old style monkey neurovirulence model that's been  
8 developed at the FDA and whether or not, in this  
9 situation, this wouldn't be an appropriate use of that  
10 model to go back and look, because that seems to be the  
11 -- correct me if I'm wrong, sort of the most  
12 conservative assay that we have for neurovirulence for  
13 any poliovirus. Is that still the case?

14 DR. KEW: I think as a safety test -- I think there's  
15 people that know a lot more about this than I do. As a  
16 safety test, the monkey neurovirulence test is still  
17 the gold standard for testing of OPV. Rarely have wild  
18 polioviruses have been tested for neurovirulence except  
19 in the early days of characterization of wild  
20 polioviruses. These viruses now that we're looking at,  
21 vaccine-derived, appear to be very much like wild

1 polioviruses and they are, again, paralyzing children.

2 So the child neurovirulence test has already been run  
3 on these. It is a very unfortunate thing, but it's  
4 been run on these and these viruses are hot and there's  
5 already some indication that that correlates with  
6 what's found in the mouse model.

7 **DR. MODLIN:** Thanks, Olen. Dave Fetson?

8 **DR. FETSON:** Dave Fetson, Aventis Pasteur MSD.

9 Perhaps Roland, or even Ciro, might be able to answer  
10 this. Does the research agenda that you've set forth  
11 now include, in addition to virologic and epidemiologic  
12 studies, a social science research agenda which asks  
13 people in developing countries what kind of strategy  
14 they want for the end game for polio vaccination?

15 **DR. SUTTER:** Thank you for your comment. At this  
16 point, in 1997, following the report of Olen Kew that  
17 actually showed that vaccine-derived virus can be  
18 replicating in an immunodeficient case, we put together  
19 an initial research agenda. Most of these -- the  
20 research has actually been done. And now there is a  
21 process underway to define our next, you know, two- to

1 three-year research agenda. We are doing this with WHO  
2 and, hopefully, within the next three to four months,  
3 we'll actually finalize that. So at this point, I  
4 can't even tell you whether something like that would  
5 be in there but, at this point, I doubt.

6 Thanks.

7 **DR. MODLIN:** Jon?

8 **DR. ABRAMSON:** I was going to follow up on Neal's  
9 point. You, again, touched on it.

10 With the data that was presented in October that  
11 suggested that you could find virus in some people 10  
12 years out, how -- how are you going to feel comfortable  
13 stopping immunization with IPV for -- after a short  
14 period of time?

15 **DR. SUTTER:** There are no easy questions today.

16 But I think what we are still trying to define -- and I  
17 think that is still ongoing, some of these studies --  
18 what is really the likelihood or -- of immune-  
19 deficiently actually excreting. That's one thing. And  
20 the other thing is particularly whether we see the same  
21 things in developing countries as well. I think for

1 most of us we believe that most industrialized  
2 countries will not stop vaccination for quite sometime.

3 So this is probably not something when the world as  
4 certified as free of wild poliovirus that, you know,  
5 countries will say this is the time to stop. So . . .

6 **DR. MODLIN:** Dr. Deseda?

7 **DR. DESEDA:** I have a question and a comment.

8 My question is, what about the ages in the confirmed  
9 cases in the Dominican Republic? I just wonder about  
10 that. I would think they would be infants.

11 But the other point is, in Puerto Rico, we have a very  
12 large community from the Dominican Republic, many of  
13 whom are illegal aliens. We've had several vaccination  
14 days coinciding with the ones in the Dominican Republic  
15 to try to capture these children. We are also  
16 recommending people who travel to the Dominican  
17 Republic to get one dose of IPV. I don't think there's  
18 any danger in Puerto Rico because we have very good  
19 vaccine coverage, but I wonder what would happen to  
20 other people from other Central American or Latin  
21 American countries who travel quite frequently. And in

1 the Dominican Republic, there's a very big resort in  
2 terms of tourists, and for Europe also.

3 **DR. MODLIN:**   Ciro?

4 **DR. de QUADRO:** I think I showed on one of the slides  
5 the distribution by age group, and the majority are in  
6 one to four.  So the majority are kids are below five  
7 years of age.

8 We have the same advisory to travelers in the Dominican  
9 Republic, to be sure that they are vaccinated before  
10 they go there, most of the Dominican Republic and  
11 Haiti, and of course, there the other point is that  
12 they still do not see the importance of surveillance in  
13 the other countries that have much contact to the  
14 Dominican Republic.  For instance, we have maybe an  
15 airplane full of Argentineans almost every day coming  
16 to the Dominican Republic.  So both they are ensured  
17 that these people are protected, as well as  
18 surveillance when they go back where they are.  But it  
19 is a difficult thing that we have to face now.

20 **DR. MODLIN:**   Phil Brunell?

21 **DR. BRUNELL:**  Phil Brunell.

1 Olen, I wonder if you would expand on the origin of  
2 these viruses. One of the mechanisms, which I think is  
3 the one you're leaning to, is sero-passage has changed  
4 this virus back to a wild, but I'd like to ask the  
5 question about whether essentially a big bang happened  
6 here. Because it sounds as though this virus is very  
7 unusual in the -- in the rate of mutation. I mean, you  
8 mentioned this. It's extraordinary. So was there  
9 something special about this particular strain or was  
10 this something that evolved gradually as one might  
11 expect if you passed poliovirus through the human  
12 species? I think the implications of this, I think,  
13 are obvious and that is, if this is an unusual event  
14 and you keep using OPV, this can -- the chances of this  
15 occurring again are greater than if you switched to  
16 some other strategy. On the other hand, if this has  
17 evolved by sero-passage through the human species, then  
18 you had better get OPV out there and use it more  
19 intensively.

20 **DR. KEW:** As Roland said, there's no easy questions  
21 this afternoon. There's actually several parts to your

1 question, so I'll try to break it down into its  
2 component parts and address them individually.  
3 First of all, what you don't want to do is separate the  
4 virology from the epidemiology, the conditions in the  
5 field from the properties of the virus. I mean,  
6 they're so interlinked that you have to look at this as  
7 a presentation showed in its entirety. There's no  
8 evidence that extended evolution of OPV viruses occurs  
9 through person-to-person transmission in an area where  
10 there's vaccine coverage. Where we've seen this  
11 evolution continuing through person-to-person  
12 transmission has only been in areas so far where there  
13 is suboptimal vaccine coverage.

14 The second point is that the vaccine viruses themselves  
15 are highly mutable. Poliovirus is the most mutable  
16 virus that we know of in nature. Most of the mutations  
17 that we observe are synonymous. About 90 to 95 percent  
18 do not change the virus amino acids and presumably  
19 don't change the virus properties in a very significant  
20 way. However, the vaccine strains are adapted for  
21 replication in subculture around 34 or 35 degrees. So

1 they really are cold-sensitive variants which have a  
2 relatively low replicated fitness in humans. At the  
3 molecular level, one of the components of attenuation  
4 is that the translation efficiency, the efficiency at  
5 which the viral RNA serves as a template for protein  
6 synthesis is significantly lower for the vaccine  
7 viruses than it is for the wild polioviruses.  
8 So there is a strong selective pressure in the human  
9 intestine to reverse those mutations, attenuating  
10 mutations, which reduce the overall replicative fitness  
11 for the virus. Now, what's excreted by normal healthy  
12 vaccinees, particularly for types 3 and types 2, are  
13 revertant viruses which have increased replicated  
14 fitness and, in the case of type 3, a fairly high up to  
15 very high neurovirulence. We don't know whether the  
16 transmissibility has increased, but we suspect that it  
17 probably has, although we don't know whether it's been  
18 fully optimized. For type 1, which what Roland alluded  
19 to, there is also this process of reversion which goes  
20 on, but it's slower and there are additional mutations  
21 which tend to stabilize the attenuated phenotype such

1 that type 1 is less mutable back to full neurovirulence  
2 than type 3, or indeed even type 2.

3 So that comes back to the environment in which this  
4 event occurred. These infections dead end in  
5 communities with high vaccine coverage: the United  
6 States; Cuba, where they've done many, many studies;  
7 and a number of other places. And even in India where  
8 we've looked carefully -- not we, but the Indian  
9 virologists have looked very carefully, they've seen no  
10 evidence in areas of high vaccine coverage of person-  
11 to-person transmission of Sabin strains. However, in  
12 areas of low vaccine coverage, the conditions exist  
13 such that those viruses that are excreted by an  
14 individual might next infect another individual and  
15 this excreter already has a higher replicated fitness.

16 Now you have a potential for re-passage and a  
17 continued evolutionary selection for even higher  
18 replicated fitness. You have a virus which has  
19 essentially recovered all the properties of a wild  
20 poliovirus. We think it happens most readily with type  
21 2, but now we see it also can happen with type 1.

1       **DR. BRUNELL:** But I thought you said there was  
2 something rather unusual about the rate of replication  
3 in this strain.

4       **DR. KEW:** No.

5       **DR. BRUNELL:** I'm sorry. The rate of mutation in the  
6 strain.

7       **DR. KEW:** No, no. These strains, we don't know what  
8 the rate of mutation is and it's hard for us to  
9 carefully measure it because of the rather narrow time  
10 window that we have to work with. So we have wide  
11 confidence intervals. But it looks similar to what we  
12 see with normal wild polioviruses, but the evolution  
13 rate does not appear to be atypical at all, and that's  
14 the underlying assumption for our estimates.

15       **DR. MODLIN:** Olen, thank you. This presentation has  
16 been, obviously, extraordinarily interesting. It also  
17 will serve as a nice background for our next  
18 presentation which will focus on dose reduction, and  
19 particularly on dose reduction of IPV.

20       Are you ready, Paul? Just in terms of introduction, I  
21 think many of you will recall that Chen Lee, before he

1 left the Committee, urged us all to -- urged us to re-  
2 examine the bases for some of our recommendations and  
3 thoughts about the immunization schedules that we  
4 recommend and, in particular, about the need for all of  
5 these doses that we recommend. As a result, we've had  
6 a working group that has been meeting now for about  
7 three or four meetings under the leadership of Peggy  
8 Rennels to examine this issue regarding several of the  
9 antigens that we've used. And we've identified two  
10 antigens for further examination, one of which is IPV.

11 It seemed to be, in many respects, the easiest to look  
12 at first.

13 Peggy, did you have anything else that you wanted to  
14 say in the way of introduction about the overall  
15 process? We're ready to go prime-time. Paul has been  
16 leading the subworking group that's been looking at  
17 IPV.

18 Paul?

19 **DR. OFFIT:** Right. So what I'm going to do is, in  
20 about 10 minutes, just briefly report the results of  
21 our working group, which was charged with trying to

1 answer this question: Can we reduce the eIPV  
2 immunization series from four to three, or less than  
3 three doses?

4 The Dose Reduction Working Group is shown here.

5 And in order to answer this question, we've actually  
6 divided it up into three smaller questions. The first  
7 is: Do three doses of eIPV induce adequate levels of  
8 circulating, virus-specific antibodies? Secondly, are  
9 these antibody responses induced after three doses of  
10 eIPV long-lived? And third, do three doses of eIPV  
11 induce long-lived, virus-specific memory responses?

12 So we'll answer the first question first. Do three  
13 doses eIPV induce adequate levels of circulating,  
14 virus-specific antibodies? The easiest question.

15 There are several studies that look at this. We're  
16 just going to summarize here a few, and we've group,  
17 for the purposes of this slide, three studies because  
18 they were very similar. The N in these three studies  
19 was between 65 and 330 people, and the studies were  
20 performed in New York and Maryland. The dose rage is  
21 shown here, and you probably all know this, but the

1 formulation which we currently use today for eIPV  
2 contains quantities of antigen of 48 and 32 D antigen  
3 units for types 1, 2, and 3, respectively.

4 The poliovirus is grown -- for these studies were grown  
5 in VERO cells, which are African monkey kidney cells.  
6 eIPV was administered at two and four months of age and  
7 again in the second year of live and bloods were  
8 obtained one and two months after each dose.

9 As you can see here, after dose two and three, 99 to  
10 100 percent of children had circulating, virus-specific  
11 neutralizing antibodies in the first McBean study.

12 This was also true in the second McBean study. And  
13 also high levels of virus-specific antibodies were  
14 found in this study by Howard Faden.

15 One side point to make is this study that was done by  
16 John Modlin, this was a study performed in Baltimore,  
17 Maryland, with a N of 99. The eIPV antigen units are  
18 shown there. In this case, the poliovirus vaccine was  
19 not grown in VERO cells but rather was grown in MRC-5  
20 cells, which are human diploid lung cells. Again, eIPV  
21 was administered as two doses in the first year and one

1 dose in the second year of life and bloods were  
2 obtained two months after dose two and three -- after  
3 dose two and three months after dose three.

4 The only thing to point out here is that there was a  
5 relatively lower percentage of children after dose two  
6 that had virus-specific neutralizing antibodies, and  
7 Dr. Patriarcha [phonetic] also alluded to studies which  
8 were not published but were in his domain that  
9 suggested virus grown in MRC-5 cells may not induce as  
10 great of an immune response after the first couple of  
11 doses for type 3 as distinct from the AGMK cell-derived  
12 viruses, or VERO cell-derived viruses.

13 So I think we can conclude from those studies that 99  
14 to 100 percent of children developed circulating,  
15 poliovirus-neutralizing antibodies after three doses of  
16 eIPV, that these -- It's important to point out that  
17 these studies were performed with two doses given in  
18 the first year and a third dose given in the second  
19 year of life, and there is at least a question about  
20 differences in vaccines prepared in MRC-5 and VERO  
21 cells.

1 The next question is, are antibody responses induced  
2 after three doses of eIPV long-lived? The best study  
3 to answer this question would be one that examined  
4 poliovirus-specific antibody responses found 15 to 20  
5 years after three doses of eIPV. That study would best  
6 be performed in a country that didn't have circulating  
7 wild type poliovirus or circulating vaccine virus.  
8 Unfortunately, this study hasn't been done. So we're  
9 left at looking at studies that were performed in  
10 Sweden and France where, in the case of Sweden, the  
11 length of -- the longevity of virus-specific  
12 circulating antibodies was looked at after four doses  
13 of eIPV, in France, after five doses of eIPV, and in  
14 both cases, 15 to 20 years after that immunization  
15 schedule, responses were long-lived. So although  
16 that's encouraging, I think we can say that at least  
17 for our purposes, there are no data available on the  
18 capacity of three doses of the eIPV given within two or  
19 five years of age to induce long-lived circulating  
20 antibody responses.

21 The last question is, do three doses of eIPV induce

1 long-lived, virus-specific memory responses? What's  
2 the rationale behind the importance of memory  
3 responses? As you know, incubation periods for polio-  
4 induced CNS disease are fairly long, in the seven- to  
5 30-day range, and one can argue that a long incubation  
6 period will allow adequate time for differentiation --  
7 for activation and differentiation of memory B cells to  
8 antibody-producing B cells and, thus, protection  
9 against disease. And usually the length of time it  
10 takes for activation and differentiation of memory  
11 cells is about three to five days, so within the  
12 incubation period of the disease.

13 There were a couple of studies that have looked at  
14 this. The first is shown here by Murdin and  
15 colleagues. In this case, anamnestic response was  
16 defined as a high-titered response greater than that  
17 found after the first two doses. And children were  
18 immunized at two, four, and 18 months of age with eIPV  
19 and had anamnestic responses to dose three given at 18  
20 months and to dose four given at four to six years of  
21 age.

1 The next two studies were done by Howard Faden. In  
2 this case, children were immunized at two, four, and 18  
3 months of age with eIPV and had anamnestic responses to  
4 OPV when it was given at five years of age, and in this  
5 case, anamnestic response was defined as high-titered  
6 response significantly greater than that found at four  
7 years of age. So not sort the more classic definition  
8 of anamnestic response in that you have a careful -- a  
9 kinetic-type response, but certainly a reasonable  
10 standing.

11 But, in summary, again, one can say that at least for  
12 our specific question, there are no data available in  
13 the capacity of three doses of eIPV given within two or  
14 five years of age to induce long-lived virus-specific  
15 memory B cell responses.

16 So the conclusions are shown over the next several  
17 slides. Three doses of eIPV with the third dose given  
18 in the second year of life does induce adequate levels  
19 of circulating virus-specific antibodies, and although  
20 the answers to questions two and three aren't  
21 immediately available, at least as relates to our

1 specific situation, one can at least take some heart in  
2 the fact that it's likely that responses are long-lived  
3 or that memory responses are generated. Again, there's  
4 no specific data to answer that question. And in  
5 addition to those concerns are the following.

6 No country has experience with only three doses of  
7 eIPV. Denmark gives three doses of eIPV, but that's  
8 followed by OPV. The eIPV-only schedule has just been  
9 introduced into the United States. Some physicians  
10 give the first three doses by six months of age. If we  
11 drop the fourth dose, some children may only get that  
12 series in the first year of life and antibody responses  
13 may decline more rapidly after that priming series.

14 Neurovirulent poliovirus has re-introduced into the  
15 Western Hemisphere, as you've just heard. The advent  
16 of combination vaccines makes it preferable to give  
17 three doses within the first year of life. Doses given  
18 beyond the first year of life are likely to be  
19 important in the induction of memory responses.

20 And finally, if we recommend a three-dose schedule,  
21 some children may only get two doses, which is likely

1 to be inadequate.

2 So, in summary then, the working group does not  
3 recommend switching from a four- to three-dose series  
4 for eIPV.

5 Thanks.

6 **DR. MODLIN:** Thanks, Paul. Any questions or comments?

7 (NO RESPONSE)

8 **DR. OFFIT:** It was either that clear or that unclear, I  
9 guess.

10 **DR. MODLIN:** You've done your job well. I hear a  
11 little bit of a sigh of relief over here to my right.  
12 Karen, I don't know if you have any comments to make at  
13 all?

14 **DR. MIDTHUN:** No. It was a very clear presentation.

15 **DR. MODLIN:** Terrific. Thank you. Rick?

16 **DR. ZIMMERMAN:** Rick Zimmerman.

17 I am intrigued by the possibility of dropping four to  
18 three doses, and I realize we're not there, both on the  
19 question of the logistics of what's going to happen  
20 with combination vaccines, as well as the question of  
21 duration of immunity. I don't have a way to predict

1 the future with what's going to happen with global  
2 eradication, but I think it would be a sad situation if  
3 we didn't have data and we were continuing to use IPV  
4 five or 10 years from now in a four-dose series because  
5 we hadn't collected the data to look at it. I realize  
6 there's some logistic issues, but I hope this issue  
7 doesn't drop from the radar screen and that the studies  
8 can be done to look at the duration of immunity with  
9 the three-dose series so we can look to see, is it  
10 possible to drop it in the future.

11 So I hope we don't lose the idea. I recognize the  
12 impracticality of moving that way now.

13 **DR. MODLIN:** Did you want to respond, Paul?

14 **DR. OFFIT:** I guess I would ask how you would do that  
15 study. I mean, for three doses, looking at either  
16 long-term immunity or long-lived memory when we  
17 currently have a four-dose schedule in the United  
18 States by five -- four to six years of age, how would  
19 we do that study?

20 **DR. MODLIN:** Bob?

21 **DR. CHEN:** I guess the -- This may have come out too

1 recently in the February 1st issue of *American Journal*  
2 *of Epidemiology*. The Dutch did a nationwide sero  
3 survey, as many of you know. The Dutch has a five-dose  
4 eIPV schedule, and what they found was that the general  
5 population, the seroprevalence for type 1 was 96.6  
6 percent, type 2, 93.4 percent, and for type 3, 89.7  
7 percent. And then in their Orthodox Reform group, the  
8 respective seroprevalences were 65, 59, and 69 percent.

9 So it raises the issue that even with the five-dose  
10 eIPV schedule, with type 3, it started to get kind of  
11 borderline, and that's with the 97 percent coverage  
12 rate in Holland. So that might be additionally  
13 helpful.

14 **DR. MODLIN:** Thanks, Bob. Any other comments or  
15 questions? We will have a similar discussion regarding  
16 H flu tomorrow that we've put off. Also, let me just  
17 ask real quick, Melinda, if we'll be ready to go at  
18 8:00 in the morning with some -- to finish up the  
19 discussion on vaccine supply with determination.

20 **DR. WHARTON:** We've got some draft language we're  
21 putting together right now.

1       **DR. MODLIN:** Okay. Let's -- Actually, we also, I  
2 notice, have a period for public comment that we have  
3 scheduled for now, at the end of the day. Is there  
4 anyone who's been signed up or anyone who's not been  
5 signed up to make any comment regarding an issue that  
6 we've covered or an issue that we haven't?

7       Yes? Actually, no, we're done, I think. Let's start  
8 at 8:00 in the morning, and we will start with the  
9 review of the DTP vaccine supply.

10       Walt?

11       **DR. ORENSTEIN:** I think there's another presentation.  
12 People are packing up, but I think there's a discussion  
13 of the OPV stockpile.

14       **DR. MODLIN:** Oh, I beg your pardon. I beg your pardon.  
15 I did miss -- I'm sorry. There is one more part to  
16 the polio discussion. I beg your pardon. I missed the  
17 -- Prompt, trying very hard. I'm sorry, Trudy.

18       **DR. CONO:** Good evening. Thank you. I'll make this a  
19 very brief pre-dinner overview of the process through  
20 which CDC has been working to establish an OPV  
21 stockpile in the event of an outbreak of poliomyelitis

1 in the U.S.

2 Just a brief of the U.S. polio immunization policy. In  
3 January of 1997, the U.S. moved from an all-OPV  
4 vaccination schedule to an IPV-OPV sequential schedule.

5 This was followed in January, 2000, by movement from  
6 the sequential schedule to an all-IPV schedule. So by  
7 November, 2000, OPV was no longer available in the U.S.

8 OPV was no longer being produced and any stores,  
9 whether they be public or private, had surpassed their  
10 shelf life expiration date.

11 So why create an OPV stockpile? As this Committee has  
12 affirmed, OPV remains the vaccine of choice for mass  
13 vaccination to control polio outbreaks. Furthermore,  
14 OPV has higher seroconversion after one dose as  
15 compared to IPV. OPV provides a greater degree of  
16 intestinal immunity as compared to IPV and OPV provides  
17 beneficial secondary spread of vaccine virus.

18 Is the U.S. at risk of an outbreak of poliomyelitis?

19 Well, at first glance, we might think the answer is no.

20 The U.S. has high vaccination coverage. In the  
21 National Immunization Survey, parents of only 1.9

1 percent to 3.1 percent of children reported their child  
2 had no polio vaccine by 19 to 35 months of age.

3 Furthermore, the Western Hemisphere had been certified  
4 free of indigenous wild poliovirus in 1994.

5 However, as we're aware, there are pockets of under-  
6 vaccination in the U.S., whether they be in religious  
7 communities, amongst philosophic vaccine objectors, or  
8 among groups of refugees, immigrants, or other people  
9 who have difficulty accessing vaccine services. We  
10 also have learned of neurovirulent poliovirus in the  
11 Western Hemisphere.

12 As we talked about in the earlier presentation, there  
13 has been the outbreak of poliomyelitis on the island of  
14 Hispaniola. Puerto Rico lies about 75 miles away from  
15 the eastern coast of the Dominican Republic. As Dr.  
16 Deseda pointed out, there is frequent travel between  
17 the two regions by ferry boat and by airplane, and it's  
18 estimated that between 200 and 300 immigrants from the  
19 Dominican Republic reach Puerto Rico each week.

20 So what are some possible sources of OPV vaccine for  
21 use in the stockpile? One possible option is through

1 the former U.S. manufacturer, Wyeth-Lederle, the  
2 producers of Orimune, or perhaps through other  
3 manufacturers, and one that has been identified is  
4 Glaxo SmithKline.

5 As for Orimune, it is no longer produced in the United  
6 States. However, CDC has identified approximately  
7 850,000 expired doses in storage with Wyeth-Lederle  
8 labs. The potency of this vaccine is uncertain.

9 Preliminary testing at FDA suggests that the vaccine  
10 may need U.S. potency specifications. However, further  
11 testing is going on at NIBSC in the U.K. If potent,  
12 this vaccine could become an interim stockpile. If it  
13 did, however, because it is expired vaccine, it would  
14 be used under an investigational new drug protocol.

15 Glaxo SmithKline was the sole respondent to a CDC  
16 solicitation for OPV manufacturers. Several GSK  
17 products are under consideration for use in the  
18 stockpile. These products are not produced in the U.S.  
19 and are unlicensed in the U.S. and, therefore, would  
20 also be used under an I and D held by CDC.

21 So, in summary, at this point, there is no OPV

1 stockpile in the U.S. In the short term, the Wyeth-  
2 Lederle product may be an option pending potency  
3 testing issues and an I and D. And over the longer  
4 term, the GSK products may be an option, also pending I  
5 and D.

6 Thank you.

7 **DR. MODLIN:** Thank you, and my apologies for the  
8 oversight.

9 Questions regarding the stockpile? Stan?

10 **DR. PLOTKIN:** Yes. I would like to just ask whether  
11 you're requesting trivalent vaccine or monovalent  
12 vaccine, and if you're not asking for monovalent  
13 vaccine, why not?

14 **DR. CONO:** I believe that the original solicitation was  
15 for trivalent. I'm not sure about monovalent. Perhaps  
16 contract people might be able to address that.

17 **DR. MODLIN:** I don't know if Dean is here, but I expect  
18 Walt might be able to address the issue.

19 **DR. ORENSTEIN:** I don't think -- The issue of  
20 monovalent stockpiles is one actually that has been  
21 considered at WHO with regard to after stopping polio

1 eradication. There is some controversy, particularly  
2 with monovalent type 3 vaccine. The concern here was  
3 to get vaccine that was already available and could be  
4 used in a larger number of people with trivalent  
5 vaccine being the predominant vaccine. As you probably  
6 know, Stan, even the issues of going to bivalent  
7 vaccine have been of concern. I think it's certainly  
8 something we could consider, but I don't think we've  
9 thought about just getting a product that's been used  
10 in other places and is licensed somewhere.

11 **DR. MODLIN:** Peggy?

12 **DR. RENNELS:** Twice today -- Peggy Rennels.

13 Twice today, the issue of using vaccines that are  
14 unlicensed in the U.S. but licensed in other countries  
15 under I and D have come up. For wide-scale  
16 vaccination, is that really a feasible way to do it?

17 **DR. MIDTHUN:** That's the only way the FDA can do it. I  
18 mean, obviously, it is difficult to give vaccine on a  
19 very wide-scale basis under I and D. Clearly, there  
20 are consent forms that would be need to be obtained on  
21 every individual. You would have to have in place an

1 actual protocol with provisions for how you're going to  
2 do this. So, yes, it requires a protocol, it requires  
3 a consent form, and the issues associated with that.  
4 So it is cumbersome, but that's the only mechanism we  
5 have available for doing this.

6 **DR. MODLIN:** Lucy?

7 **DR. TOMPKINS:** Why can't the FDA invent a new procedure  
8 for outbreaks of infectious diseases?

9 **DR. MIDTHUN:** That's not up to FDA. I mean, there  
10 might be some other level within the Federal Government  
11 that could potentially address that, but we have to go  
12 by our regulations and that's what they ask us to do.  
13 Now, if some other body comes up with some other  
14 mechanism and tells us to do things differently --

15 **DR. TOMPKINS:** Would that be the Secretary of HHS? No?  
16 It would have to be legislation?

17 **DR. MODLIN:** I would -- Chuck Helms is not here, but I  
18 would be very surprised if that issue has not come up  
19 with the bioterrorism work group. Maybe it may have  
20 been discussed by NVAC or the NVPO. I don't know if  
21 Marty or Georges have anything to add to that issue in

1 terms of actually trying to effect the change in  
2 regulatory policy for contingency purposes. I think  
3 it's a very valid -- but we would add it to the list.

4 **DR. MYERS:** I'm not a lawyer, so I probably shouldn't  
5 respond, but at least in other discussions, the higher  
6 body that Karen is referring to is legislative.

7 **DR. MODLIN:** Yes.

8 **DR. SNIDER:** Although there have been some discussions  
9 about whether the President, under an Executive Order,  
10 could make a judgment and suspend the current rules.  
11 But clearly, that would be a major effort, either by  
12 the highest level in the Executive Branch or at the  
13 Legislative Branch, having to take action. I think the  
14 issue of trying to do something proactively, though, is  
15 something that is on the plate and is of concern to the  
16 people who are working in the bioterrorism arena. I  
17 think that analogy is a very good one because we are  
18 beginning to recognize that there are a number of  
19 problems that we will encounter around diagnostic kits  
20 that are not necessarily approved as devices around  
21 vaccines that may

1 not -- may be under I and D, around drugs that may be an  
2 off-label use, and that somehow in doing bioterrorism  
3 preparedness, we may be able to find a way to deal with  
4 other emergency situations that are not necessarily  
5 bioterrorist events, but nevertheless are emergency  
6 events.

7 So I think that the continued exploration of the  
8 bioterrorism group into trying to smooth the way to  
9 dealing with an event of bioterrorism might provide  
10 some answers to how to be proactive and not have to  
11 have a Presidential Executive Order or congressional  
12 action taken in an emergency situation.

13 **DR. MODLIN:** Yes?

14 **DR. PALKONWAY:** (Inaudible) Agency. In Canada, there  
15 exists a so-called special access program which could  
16 circumvent a lot of license a product under certain  
17 circumstances. If you have to deal with a large-scale  
18 situation like an outbreak, we also have programs  
19 dealing with such specific programs. But this such  
20 program exists in Canada, so you could consider this to  
21 study, the Canadian program.



C E R T I F I C A T E

STATE OF GEORGIA

COUNTY OF FULTON

I, PAMELA T. LENNARD, BEING A CERTIFIED COURT REPORTER AND NOTARY PUBLIC IN AND FOR THE STATE OF GEORGIA AT LARGE, HEREBY CERTIFY THAT THE FOREGOING IS A TRUE AND COMPLETE TRANSCRIPTION OF SAID PROCEEDINGS; AND THAT I AM NEITHER A RELATIVE, EMPLOYEE, ATTORNEY, OR COUNSEL OF ANY OF THE PARTIES, NOR A RELATIVE OR EMPLOYEE OF SUCH ATTORNEY OR COUNSEL; NOR FINANCIALLY INTERESTED IN THE ACTION.

WITNESS MY HAND AND OFFICIAL SEAL, THIS, THE 23RD DAY OF MARCH, 2001, IN ALPHARETTA, FULTON COUNTY, GEORGIA.

---

PAMELA T. LENNARD, CCR, CVR

CERTIFICATE NUMBER B-1797  
(CCR SEAL - NOTARY SEAL)

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
CENTERS FOR DISEASE CONTROL AND PREVENTION

convenes the

ADVISORY COMMITTEE ON IMMUNIZATION PRACTICES

VOLUME II - DAY TWO

The verbatim transcript of the ACIP Conference commencing at 8:00 a.m. on Thursday, February 22nd, 2001, at the Marriott Century Center Hotel, Atlanta, Georgia.

C O N T E N T S

PARTICIPANTS (by group, in alphabetical order).....	329
PROCEEDINGS:	
DOSE-REDUCTION WORKING GROUP UPDATE	
Dr. Brooks.....	334
UNFINISHED BUSINESS FROM PREVIOUS DAY	
Dr. Bisgard.....	348
UPDATES	
National Immunization Program	
Dr. Orenstein.....	364
Food and Drug Administration	
Dr. Midthun.....	376
National Institutes of Health	
Dr. Heilman.....	383
Vaccine Injury Compensation Program	
Dr. Evans.....	388
National Vaccine Program	
Dr. Myers.....	402
National Vaccine Advisory Program	
Dr. Peter.....	410
National Center for Infectious Diseases	
Dr. Mawle.....	420
GENERAL RECOMMENDATIONS	
Dr. Atkinson.....	427
REVIEW OF THE NEW HEPATITIS B SAFETY STUDIES	
Dr. Margolis.....	468
INSTITUTE OF MEDICINE	
Dr. McCormick.....	478
DISCONTINUATION OF MANUFACTURE AND MARKETING OF THE ONLY LICENSED CHOLERA VACCINE IN THE U.S. AND THE ONLY LICENSED TYPHOID FEVER VACCINE FOR CHILDREN AGE 6 MONTHS TO TWO YEARS IN THE U.S.	
Dr. Mintz.....	491

(continued)

ADULT IMMUNIZATION WORKING GROUP  
 Dr. Ward.....500  
 Dr. Clover.....537

UPDATE: HEPATITIS A VACCINATION ACTIVITIES  
 Dr. Bell.....542

COST-EFFECTIVENESS OF UNIVERSAL CHILDHOOD  
 VACCINATION AGAINST HEPATITIS A IN STATES  
 COVERED BY ACIP RECOMMENDATIONS  
 Dr. Jacobs.....566

STAPHVAX  
 Dr. Jernigan.....579  
 Dr. Horwith.....580

PUBLIC COMMENT

ADJOURN

CERTIFICATE OF REPORTER.....615

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

Chairman:

John F. Modlin, M.D.  
Professor of Pediatrics and Medicine  
Dartmouth Medical School  
Lebanon, New Hampshire

Executive Secretary:

Dixie E. Snider, Jr., M.D.  
Associate Director for Science  
Centers for Disease Control and Prevention  
Atlanta, Georgia

Members:

Dennis A. Brooks, M.D., M.P.H.  
Assistant Professor of Pediatrics  
Johns Hopkins School of Medicine  
Baltimore, Maryland

Richard D. Clover, M.D.  
Professor and Chairman  
Department of Family and Community Medicine  
University of Louisville  
Louisville, Kentucky

Jaime Deseda-Tous, M.D.  
Associate Professor of Pediatrics  
San Jorge Children's Hospital  
San Juan, Puerto Rico

Charles M. Helms, M.D., Ph.D.  
Professor of Medicine/Chief of Staff  
University of Iowa Hospital and Clinics  
Iowa City, Iowa

David R. Johnson, M.D., M.H.P.  
Deputy Director and Chief Medical Executive  
Michigan Department of Community Health  
Lansing, Michigan

Myron J. Levin, M.D.  
Professor of Pediatrics and Medicine  
Chief, Pediatric Infectious Diseases  
University of Colorado School of Medicine  
Denver, Colorado

Paul A. Offit, M.D.  
Chief, Section of Infectious Disease  
The Children's Hospital of Philadelphia  
Philadelphia, Pennsylvania

Margaret B. Rennels, M.D.  
Department of Pediatrics  
University of Maryland School of Medicine  
Baltimore, Maryland

Natalie J. Smith, M.D., M.P.H.  
Chief, Immunization Branch  
Division of Communicable Disease Control  
Berkeley, California

Lucy S. Tompkins, M.D., Ph.D.  
Professor, Departments of Medicine  
and Microbiology and Immunology  
Stanford University Medical Center  
Stanford, California

Bonnie M. Word, M.D.  
Monmouth Junction, New Jersey

Ex Officio Representatives:

James E. Cheek, M.D., M.P.H.  
Principal Epidemiologist for  
Infectious Disease  
Indian Health Service  
Division of Community and Environmental Health  
Albuquerque, New Mexico

Benedict M. Diniega, M.D., Col.  
Program Director  
Preventive Medicine and Surveillance  
Office of the Assistant Secretary of  
Defense for Health Affairs  
Falls Church, Virginia

Geoffrey Evans, M.D.  
Chief Medical Officer  
Division of Vaccine Injury Compensation  
Bureau of Health Professions  
Health Resources and Services Administration  
Rockville, Maryland

T. Randolph Graydon  
Director, Division of Advocacy and Special Issues  
Center for Medicaid and State Operations  
Baltimore, Maryland

Carole Heilman, M.D.  
Director, Division of Microbiology  
and Infectious Disease Branch  
NIAID/NIH  
Bethesda, Maryland

Karen Midthun, M.D.  
Director, Office of Vaccine Research and Review  
Center for Biologics Evaluation and Research  
Food and Drug Administration  
Bethesda, Maryland

Martin G. Myers, M.D.  
Acting Director  
National Vaccine Program Office  
Atlanta, Georgia

Kristin Lee Nichol, M.D.  
Professor of Medicine  
University of Minnesota  
Minneapolis, Minnesota

Liaison Representatives

American Academy of Family Physicians

Martin Mahoney, M.D., Ph.D.  
Kaleida Health and DeGraff Family Physicians  
Tonawanda, New York

Richard Zimmerman, M.D.  
Department of Family Medicine  
and Clinical Epidemiology  
University of Pittsburgh  
School of Medicine  
Pittsburg, Pennsylvania

American Academy of Pediatrics

Larry Pickering, M.D.  
Director, Center for Pediatric Research  
Children's Hospital of the King's Daughter  
Norfolk, Virginia

Jon Abramson, M.D.  
Weston M. Kelsey Professor and Chair  
Department of Pediatrics  
Wake Forest University School of Medicine  
Winston-Salem, North Carolina

American Association of Health Plans

Eric France, M.D.  
Assistant Chief of Preventive Medicine  
Kaiser Permanente  
Denver, Colorado

American College of Obstetricians and Gynecologists

Stanley A. Gall, M.D.  
Department of OB/GYN  
University of Louisville School of Medicine  
Louisville, Kentucky

American College of Physicians

Kathy Neuzil, M.D.  
VA Puget Sound Health Care System  
Seattle, Washington

American Hospital Association

William Schaffner, M.D.  
Professor and Chairman  
Department of Preventive Medicine  
Vanderbilt University  
Nashville, Tennessee

American Medical Association

H. David Wilson, M.D.  
Professor of Pediatrics  
University of North Dakota  
Grand Fork, North Dakota

Association of Teachers of Preventive Medicine

W. Paul McKinney, M.D.  
Chief Professor and Chief  
Division of Internal Medicine  
University of Louisville

Louisville, Kentucky

Biotechnology Industry Organization  
Vacant

Canadian National Advisory Committee on Immunization  
Victor Marchessault, M.D.  
Cumberland, Ontario  
Canada

Hospital Infection Control Practices Advisory Committee  
Jane D. Siegel, M.D.  
Professor of Pediatrics  
University of Texas  
Dallas, Texas

Infectious Diseases Society of America  
Samuel L. Katz, M.D.  
Wilburt C. Davison Professor  
Duke University Medical Center  
Durham, North Carolina

National Immunization Council and Child Health Program  
Jose Ignacio Santos  
Ministry of Health  
Mexico

National Medical Association  
Rudolph E. Jackson, M.D.  
Professor, International Health Program  
Morehouse School of Medicine  
Atlanta, Georgia

National Vaccine Advisory Committee  
Georges Peter, M.D.  
Division of Pediatric Infectious Diseases  
Rhode Island Hospital  
Providence, Rhode Island

Pharmaceutical Research and Manufacturers of America  
Barbara J. Howe, M.D.  
Vice President and Director  
Clinical/Medical Affairs, Vaccines  
SmithKline Beecham Pharmaceutical Co.  
Collegeville, Pennsylvania



1 decrease the number of doses of PRP-T and HbOC from  
2 four to three? This was -- There are several products  
3 here in the United States that are used, but these two  
4 products actually use a three-dose primary series, as  
5 well as a booster, and these were the ones that we  
6 wanted to focus on completely. We gathered as much  
7 information as we could, looking at data related to  
8 immunogenicity as well as efficacy, and we're primarily  
9 focusing on two models that are currently in use.

10 The two models are the Scandinavian Model, which is a  
11 two-dose primary series with a booster; and the second  
12 model is the UK Model, which is a three-dose primary  
13 series without a booster.

14 As I said previously, the products that are currently  
15 in use in the United States include PedvaxHib title and  
16 ActHib. PedvaxHib currently has a two-dose and a  
17 booster. So we are actually looking at the other two,  
18 as I said before.

19 Immunogenicity, what we looked at was the response of  
20 PRP-T and the HbOC. The immune response to those  
21 particular two followed a similar pattern. After dose

1 one, there was a minimal response; there was a limited  
2 response after post-dose two; and a good response after  
3 post-dose three. That is currently illustrated in the  
4 graph here from the [inaudible] article, which showed  
5 that after -- if you look at PRP-T, HbOC, after post-  
6 dose one, minimal response; after post-dose two,  
7 somewhat of a response; and then a really good response  
8 after that. Of note is the PRP-OMP, which is the hib  
9 titer, the PedvaxHib, which had a very good response  
10 after post-dose one and reached effective level after  
11 post-dose two. But, again, we're looking at the other  
12 two because I think those are the two that we were  
13 wondering whether we could decrease their dosing.  
14 In terms of efficacy, all of the conjugated vaccines  
15 had a protective effect against hib. I don't think  
16 there was any question about that. But overall, the  
17 efficacy could be affected by the disease burden of the  
18 population; if there was a high disease burden, age of  
19 onset of disease, if there was early onset of the hib  
20 disease; and immune response to the first and second  
21 dose, which we illustrated in the previous slide.

1 This particular grid looks at some of the studies that  
2 were done prior to the use of the various vaccines.  
3 The Finnish work was obviously one that was done  
4 extensively. PRP-D did not show an improved efficacy  
5 or a significant efficacy in Alaskan Natives, and I  
6 think that was basically because of the high disease  
7 burden and the early onset of disease. HbOC and PRP-T  
8 actually showed some good responses after three doses,  
9 certainly very effective at 100 percent and 94 percent.

10 And the Navajo tribe, which also had a significant  
11 burden of disease, responded fairly well to two doses  
12 of the PRP-OMP, but, again, early onset disease, early  
13 -- good immune response to post-dose one.

14 The Scandinavian model, which basically most of that  
15 information came from the Peltola study, was a two-dose  
16 primary series with a booster. There is apparently a  
17 low burden of disease relative to the United States and  
18 a later onset of disease also.

19 The following grids actually try to summarize some of  
20 Peltola's work. If you look at -- There's a two-dose  
21 primary series, primarily using PRP-T, given at three,

1 five, and ten months of age. Probably there's a two-  
2 month swing in there somewhere. And if you look at the  
3 effectiveness in the population, it had greater than 95  
4 percent and 96 percent for overall hib disease by 1996,  
5 which showed some good work for the Norway people.

6 The Finnish people actually use HbOC. They had four to  
7 six -- It was given at four and six months, again later  
8 on in the disease process, and at 14 to 18 months. The  
9 efficacy, which you can look at again, greater than 95  
10 percent for meningitis and 100 percent for hib disease  
11 by 1996. Obviously, the Finnish people are doing quite  
12 well.

13 In Sweden, we looked at PRP-T also at three, five, and  
14 twelve months of age, with a two-dose primary series,  
15 and the effectiveness was greater than 95 percent for  
16 meningitis. Interestingly enough, they had 75 percent  
17 for hib disease by 1996. The reasons for that are a  
18 little unclear. Again, there is some population  
19 extrapolation issues.

20 Denmark, again PRP-T, three, five, and twelve months.  
21 Efficacy was certainly within acceptable range.

1 Overall available data for all hib disease was not  
2 available.

3 In summary, the two-dose primary series with booster  
4 showed high effectiveness in Scandinavia with PRP-T or  
5 HbOC. There is currently no available -- no experience  
6 with this particular schedule in the United States.  
7 The United Kingdom's experience was looked at also.  
8 Unfortunately, Dr. Salisbury is not here right now.  
9 So, I mean, he would obviously have quite a few  
10 comments related to that, but the hib disease was  
11 introduced in 1992, currently, use of PRP-T at two,  
12 three, and four months of age. And as is highlighted,  
13 there is no booster in the second year of life, which  
14 makes it very interesting.

15 The pre-vaccine hib disease was 23.8 cases per 100,000  
16 and post-vaccine was 1.8 per 100,000. So, obviously,  
17 they're having some effectiveness.

18 As of 1995, the overall estimate of efficacy of three  
19 doses of PRP-T in the U.K. children was 98.1 percent  
20 with very tight confidence intervals, actually. But if  
21 you broke down the age range, you would find that as a

1 child approaches 24 to 35 months, the efficacy drops to  
2 94.7. I think that that was overall in all the  
3 articles that we saw, that as the child gets older, the  
4 efficacy of the PRP-T went down somewhat, and that  
5 seemed to be acceptable to them.

6 In conclusion, the PRP-T and HbOC are poorly  
7 immunogenic after a two-dose primary series in the U.S.  
8 children and, thus, may not provide sufficient  
9 protection. A two-dose primary series at three and  
10 five months followed by a toddler booster seems to be  
11 effective in the Scandinavian children, although the  
12 effectiveness for overall hib disease was Sweden was  
13 questionable.

14 Conclusion two -- one of the overriding factors in all  
15 the work that we looked at was that you should  
16 extrapolate effectiveness in Scandinavia to U.S.  
17 populations and, apparently, you should not extrapolate  
18 effectiveness in any other population also. I think  
19 that's one of the things we found was an overriding  
20 issue. The potential differences with age of risk of  
21 onset, unknown differences in circulation of hib and

1 the controversial question of genetic differences.  
2 Conclusions from the U.K. model, based on the data  
3 available, there is decreased efficacy as the child  
4 gets older and approaches between two and three years  
5 of age after the three-dose primary series with PRP-T  
6 without the booster.

7 And the recommendations of the group were, basically,  
8 that the data was inadequate to support a reduction in  
9 number of doses of PRP-T and HbOC from four to three in  
10 U.S. children. Certainly, we welcome any questions and  
11 any dialogue related to the haemophilus review.

12 **DR. MODLIN:** Dennis, thank you. Very nicely presented  
13 and thorough summary.

14 Are there questions or comments regarding h. flu? I  
15 don't see Mike Decker in the audience, if Mike would  
16 have any comments. Phil?

17 **DR. HOSBACH:** There are a couple of other ways of  
18 looking at this, and one is to look at the hib failures  
19 in the United States and see how many of those kids are  
20 incompletely immunized. Of course, you don't have a --  
21 I don't think you have a good denominator for that. So

1 that may be a problem.

2 I'm really surprised at the English data because even  
3 in the absence of immunization, kids will gradually  
4 acquire hib antibody. And my recollection is, by four  
5 years of age, the vast majority of kids unimmunized  
6 with hib antibody. And finally, I remember when this  
7 vaccine was in development, Dan Grannof [phonetic]  
8 immunized kids with unconjugated vaccine to see if  
9 there was immunologic memory, and I don't know whether  
10 there are data of this sort to support or reject what  
11 you are proposing in terms of doing away with the  
12 booster or an accelerated or decreased number of doses  
13 in the schedule.

14 **DR. BROOKS:** We don't have any data on the unconjugated  
15 use of the vaccine that I could particularly find.  
16 Maybe Peggy knows something about that. In terms of  
17 the herd immunity, which it sounds like you were  
18 talking about related to U.K. experience, I think  
19 there's still surveillance going on about that. But  
20 overall, I do believe that the efficacy did go down as  
21 the child got older. But it leveled off somewhere

1 around 95 percent, I think.

2 Any other comments?

3 **DR. MODLIN:** Myron?

4 **DR. LEVIN:** Myron Levin.

5 You have here in one of your slides the incidence data  
6 after 1995. Do you have more recent incidence data,  
7 and how might that compare to the U.S. incidence data?

8 **DR. BROOKS:** I don't have the incidence data. Trudy  
9 Murphy could probably give you some idea on the United  
10 States data.

11 **DR. MODLIN:** Trudy?

12 **DR. LEVIN:** And can I just --

13 **DR. BROOKS:** Sure, yes.

14 **DR. LEVIN:** So I guess the question -- the thing that,  
15 of course, was -- is disturbing and that you put in  
16 your summary is that, in the older children, the  
17 efficacy was a little bit less than it was --

18 **DR. BROOKS:** A little bit less, three percentage  
19 points, yes.

20 **DR. LEVIN:** I don't know how many numbers are involved  
21 here and how hard that conclusion is. Maybe you can

1 comment on that.

2 **DR. BROOKS:** I have that article, but I don't have the  
3 number right off the top of my head.

4 **DR. MODLIN:** Walt?

5 **DR. ORENSTEIN:** I just wondered if I'm missing  
6 something here, but at least the way I look is the  
7 efficacy is really no different between the two, at  
8 least if I look at the overlapping confidence  
9 intervals, and I don't know if there's more data or --  
10 As I see it, they're equal efficacies. Even though the  
11 point estimates may be a little different, there is  
12 substantial overlap in the confidence intervals, and I  
13 don't know if the Committee can consider that or  
14 there's something else that makes you think that there  
15 are real differences in efficacy.

16 **DR. BROOKS:** I --

17 **DR. MODLIN:** Walt, let me challenge you on this. If  
18 you were going to be making a decision as to whether or  
19 not to drop a dose, would you rather go with the  
20 confidence limits or the point estimates here?

21 **DR. ORENSTEIN:** I think I would want to know, as Myron

1 said, what has been happening since 1995. I mean,  
2 there's five years more presumable experience on it,  
3 but I would like to know also -- my presumption is  
4 there might be tighter confidence limits in there and  
5 better estimates of what the efficacy really is. I'm  
6 not saying that we ought to change, but it certainly  
7 doesn't look all the impressively different to me.

8 **DR. MODLIN:** That's a good point. Trudy?

9 **DR. MURPHY:** I'm not sure which question to address.

10 As far as incidence in the U.S., it's been running in  
11 the one per 100,000 -- one to two per 100,000. It's  
12 very low, but those -- that's based on passive  
13 surveillance for the most part, although there are a  
14 few areas of active surveillance. So it's very low.  
15 As far as drift, we did try to obtain some more recent  
16 data from the U.K. and were not successful. So this  
17 was the most recent published data.

18 **DR. MODLIN:** Dr. Fetson?

19 **DR. FETSON:** David Fetson, Aventis Pasteur, MSD.

20 I think that one of the factors that is worth  
21 considering is the fact that the British have not felt

1 it necessary to change their policy on the basis of the  
2 data that they've generated. So despite the 94.7  
3 percent effectiveness point estimate in the children  
4 over two years of age, they've not changed their  
5 policy. They feel very, very comfortable with it and  
6 their more -- most recent publications have documented  
7 a very substantial measurable effect on invasive hib  
8 disease in older children and adults with their three-  
9 dose policy. So they feel that it's an effective  
10 vaccine and their policy is working.

11 **DR. BROOKS:** I think we wrestled with this concept in  
12 terms of the efficacy of the United States versus the  
13 decreased efficacy in the older children in the U.K.  
14 experience. I think the question is, are we willing to  
15 accept a four point or three point change in efficacy  
16 with decreasing the dose, the booster dose. I can't  
17 give you an answer for that. I think we felt that we  
18 should probably continue with what we were doing. I  
19 don't know if Trudy or Peggy have another response to  
20 that, but it was something we wrestled with  
21 significantly.

1       **DR. MODLIN:** Stan?

2       **DR. PLOTKIN:** I think in my opinion, which is obviously  
3       the only one I can express, the overwhelming reason for  
4       maintaining this is because combinations will be  
5       available and will be too tedious to take hib out of a  
6       combination to eliminate a dose. I do detect -- And  
7       Phil brought this up. I do detect some intellectual  
8       inconsistence in that I think most of us believe that  
9       memory is extremely important in the efficacy of hib  
10      vaccines. And the data that I'm aware of show, in  
11      fact, the two doses are quite efficacious, at least in  
12      most populations with the vaccines that are now in use.  
13      I'm not advocating two doses. What I'm saying is that  
14      I think probably we could do without four doses of hib,  
15      but I certainly not recommend in the practical  
16      circumstances that we have eliminating that fourth  
17      dose. It will gain us nothing in the long term.

18      **DR. MODLIN:** Thanks, Stan. Yes, Georges?

19      **DR. PETER:** I certainly support continuation of the  
20      present U.S. policy, but two questions that we do not,  
21      as far as I know, have the answers. One is whether or

1 not a change in the carriage of haemophilus influenza  
2 type B has occurred in the vaccine era. In the pre-  
3 vaccine era, it was three to five percent approximately  
4 and whether the vaccination has impacted on carriage  
5 rate in any way in older persons -- in other words,  
6 have the reservoir been effected -- we do not know the  
7 answer to.

8 Secondly is we do not have data and will not, I  
9 suspect, for some years on whether or not the  
10 [inaudible] Wright curve still applies for antibody  
11 concentrations now that we have a vaccinated  
12 population. In other words, one might speculate on the  
13 situation where natural boosting no longer occurs as  
14 regulating older persons unless you have immunologic --  
15 simply because we don't have as much circulation of the  
16 organism, but that's purely speculative.

17 **DR. BROOKS:** And thank you. We did read your article.

18 **DR. MODLIN:** Unless we still have circulation of --  
19 What is it? -- K-100 antigen and, therefore,  
20 contributing to immunity on that basis.

21 Anyway, I certainly don't hear the -- any beating of

1 the drum for a change now and it may be that this  
2 question will -- and probably will become moot with the  
3 introduction of combination vaccines, but at some point  
4 in time, it may be worthwhile revisiting a question if  
5 there -- particularly if there are data from abroad  
6 that would help us to understand a little bit more just  
7 what the long-term protection is going to be.

8 Dennis, thank you --

9 **DR. BROOKS:** Thank you.

10 **DR. MODLIN:** -- very much for a nice consciousness-  
11 raising presentation.

12 Let's go on and finish up with some unfinished business  
13 from yesterday, which is the draft of what I presume  
14 will be an update in the MMWR regarding the  
15 availability of DTP supplies and contingency plans in  
16 case a shortage should occur.

17 Melinda, do you want to take us through this?

18 **DR. WHARTON:** It's --

19 **DR. MODLIN:** Or Kris, I'm sorry.

20 **DR. BISGARD:** All right. We put together some -- a  
21 quick paragraph. This one is sort of background

1 information and we will have to add some tetanus and  
2 diphtheria language, but it does provide some of the  
3 data that Jon was asking about yesterday. In 1990's,  
4 81 percent of 102 pertussis-related deaths were among  
5 infants less than four months of age. And I have a  
6 graph of hospitalization data. I'm sorry -- This  
7 should be a stack bar, but there are most -- there are  
8 a lot of hospitalized cases, 60 percent of pertussis  
9 cases in children of less than six months of age are  
10 hospitalized and then that decreases to 24 percent in  
11 children six to 11 months of age, 17 percent in  
12 children one year of age, eight percent in children two  
13 years of age, and then four percent in the three-to-  
14 nine-year age group. So it decreases rapidly.

15 **DR. ABRAMSON:** May I ask a question?

16 **DR. MODLIN:** Sure.

17 **DR. ABRAMSON:** Are you saying these children between  
18 one and two years of age --

19 **DR. BISGARD:** Yes.

20 **DR. ABRAMSON:** -- is that correct?

21 **DR. BISGARD:** Basically, 12 months to 23 months.

1 So I don't think we need to vote on this, although I  
2 would appreciate comments. And the language that  
3 Melinda and I drafted are the following:

4 Because pertussis is most severe among infants and  
5 current available supplies of DTaP are limited, the  
6 ACIP, in consultation with other groups, including the  
7 American Academy of Pediatrics and the American Academy  
8 of Family Physicians, recommends the following to  
9 assure the vaccine supplies are sufficient: for all  
10 infants to receive the initial three-dose primary DTaP  
11 series. Effective immediately, all health care  
12 providers should defer administration of the first DTaP  
13 booster of the five-dose series, which is dose four,  
14 usually given between 12 and 18 months of age, until  
15 adequate supplies are available to administer  
16 recommended doses to all children. When adequate, DTaP  
17 vaccines become available, steps should be taken to  
18 recall all children who did not receive the first DTaP  
19 booster for remedial immunization. And in order to  
20 insure immunity, the pertussis, diphtheria, and tetanus  
21 during the elementary school years, administration of

1 the pre-school booster at ages four to six should  
2 continue in accordance with existing ACIP  
3 recommendations. And probably one other bullet that we  
4 should add is that children travelling to endemic --  
5 diphtheria-endemic countries should receive that fourth  
6 booster as well as children among -- in some Indian  
7 reservations in South Dakota.

8 **DR. MODLIN:** Any discussion? Let me ask you or  
9 Melinda, this obviously is an update that would go into  
10 effect once it's published. So, again, maybe just a  
11 question about -- This is something I presume that  
12 you're going to keep in your back pocket and publish it  
13 at that point in time that you feel would be  
14 appropriate and necessary. Is that right?

15 **DR. WHARTON:** Yeah, yeah. This is something that we  
16 would like to have guidance from the Committee in the  
17 event that in conversations with FDA and the  
18 manufacturers as well as our other partners, that it  
19 appears that we have a sufficient problem that we need  
20 to provide guidance to providers about how to grapple  
21 with the shortage and then we would publish this. And

1 we should be able to get into MMWR with a very short  
2 turnaround.

3 The other point to make is that we've written this as  
4 the sort of minimalist intervention with only the  
5 fourth dose. And the last item on the overhead about  
6 the preschool booster, if the problem appeared to be  
7 sufficient that it required dropping the fifth dose,  
8 that language would be changed, but what we've shown  
9 you is the minimalist approach that we would use in the  
10 event it appears that action is required.

11 **DR. MODLIN:** Comments, questions? Myron?

12 **DR. LEVIN:** Anybody who reads who's in the field would  
13 first say, how long, how long is this going to last?  
14 So should we -- And I know you don't know how long, but  
15 should we have some -- is there some kind of  
16 conservative statement we can make saying that --

17 **DR. WHARTON:** Well --

18 **DR. MODLIN:** The only thing is, it says when supplies  
19 become available steps should be taken, but maybe --

20 **DR. WHARTON:** We certainly could provide some  
21 background language about what's going on with the

1 shortage and that we hope by the end of the year that  
2 supplies would be adequate, so that people understand  
3 this isn't for the next six years we expect things to  
4 be this way. We certainly could put that in.

5 **DR. SMITH:** You might also, as happened with flu, refer  
6 them to the web site or have an update they could  
7 check.

8 **DR. MODLIN:** Good point. Phil?

9 **DR. HOSBACH:** Yeah, I have two comments.

10 One is, do you want something in here about out-of-home  
11 care, that kids who are in out-of-home care should  
12 receive vaccine even though they may be in that 18-  
13 month-old group, because that'll be required in many  
14 states for attendance.

15 And the second may be a matter of semantics, but I  
16 think some people consider the fourth dose not a  
17 booster, but part of the primary -- part of the  
18 immunization series. So I think I would probably take  
19 out of that statement in the second line "booster" and  
20 just leave it as the 18-month-old dose.

21 **DR. MODLIN:** Jon, would you -- I know you have a

1 comment. Would you respond as well the Phil's comment  
2 about considering the fourth dose a booster here?

3 **DR. ABRAMSON:** I mean, I would certainly consider the  
4 fourth dose a booster dose, but let me make two  
5 comments.

6 The data that you showed still makes us wonder what the  
7 hospitalization rate is, and I've been through this too  
8 many times. What we assume and what is reality don't  
9 necessarily click. If you have that hospitalization  
10 rate data, then we need to see it in order to make a  
11 better judgment about whether it's the fourth dose or  
12 the fifth dose that ought to go out.

13 And that piece of data in our spring meeting, I hope we  
14 can come back and give you agreement with the policy,  
15 but the hospitalization rate flows with that disease  
16 rate -- And let's say it 17 percent or 20 percent of  
17 the

18 hospitalizations -- that's going to be a very serious  
19 discussion.

20 So it is the hospitalization rate. I  
21 don't -- I think there are very, very few deaths between one

1 and two years of age, but I don't know what the  
2 hospitalization rate is. So that to us -- to me, as we  
3 present it at the spring COID meeting -- will be a  
4 piece of -- critical piece of data that, if Walt or  
5 somebody else can be given as they come up with it, we  
6 would like to have.

7 **DR. MODLIN:** Georges?

8 **DR. PETER:** Jon, several points. I don't disagree with  
9 you, Jon, but I would add that we do not know the  
10 benefit of the preschool dose in terms of reducing the  
11 reservoir that affects the incompletely-immunized  
12 young. So one could make an argument that the  
13 preschool dose is equally important.

14 The second point is that the definition of a primary  
15 series of pertussis vaccines [inaudible] 30 years ago  
16 was established as four doses, and that was with whole  
17 cell. And I think today, a redefinition by the FDA  
18 might indeed be helpful unless you've already done so,  
19 but we wrestled with this language in the Red Book some  
20 years ago. And I think the data at least would suggest  
21 that the fourth dose of acellular today is truly a

1 booster.

2 **DR. MIDTHUN:** Can I address that? Karen Midthun.

3 I think with the acellular pertussis vaccines, it  
4 depends on the vaccine. Can you hear me?

5 **UNIDENTIFIED SPEAKER:** Could you start over again?

6 **DR. MIDTHUN:** Yeah. Karen Midthun, FDA.

7 I think for the acellular pertussis vaccines, it  
8 depends on the particular vaccine that you're  
9 considering. For example, the SKB Infanrix vaccine,  
10 there, clearly, efficacy was demonstrated after  
11 immunization at two, four, and six months. And as we  
12 saw yesterday, the protection was, you know, followed  
13 up for several years thereafter.

14 With the Certiva DTaP vaccine, for example, that was  
15 the one from -- currently with Baxter, there the  
16 efficacy data in Sweden were based on a three-, five-,  
17 and twelve-month immunization schedule, and in trying  
18 to see how that really translated in a two-, four-,  
19 six-month schedule, there was a bridging study done  
20 with regard to immune responses in comparing them. And  
21 what was found was that immunization after two, four,

1 and six months in the U.S. gave you significantly lower  
2 immune response and after three, five, and 12 months in  
3 Sweden, and whereas immunization after two, four, six,  
4 and 15 months of age gave you similar responses or  
5 actually a little higher than you saw at three, five,  
6 and 12.

7 So that vaccine, for example, was licensed for a four-  
8 dose series.

9 **DR. MODLIN:** So the semantics will need to remain a  
10 little fuzzy, I think is the answer. Thanks, Karen.  
11 That's helpful.

12 **DR. SMITH:** We can talk about the semantics, but I  
13 think the reality in the field is that a lot of  
14 practicing docs don't know what a primary series versus  
15 a booster is, anyway. So I think there would have to  
16 be an accompanying Q-and-A document to address -- do  
17 more explaining.

18 **DR. MODLIN:** Okay. I assume that you guys can dance  
19 around that issue fairly deftly.

20 Is there any other comments regarding -- Really, the  
21 basic issue here is fourth dose versus fifth dose.

1 Dave?

2 **DR. JOHNSON:** I guess I'm a little bit concerned about  
3 John's comments, and I'm not sure that we have  
4 concurrence from the Academy with this kind of  
5 statement and I would be worried about having the  
6 statement possibly published without that concurrence.

7 I --

8 **DR. MODLIN:** I don't want to speak for Jon, but I don't  
9 think we're going to have concurrence until the  
10 Committee has had a chance to meet.

11 **DR. ABRAMSON:** This is an issue that is going to have  
12 to be 12 people around the table to decide.

13 **DR. JOHNSON:** Right. I appreciate that. But the  
14 question was one of outstanding hospitalization data  
15 and these data weren't adequate, Jon, you didn't think  
16 to help your group make that decision?

17 **DR. ABRAMSON:** Yeah. I do think the group would want  
18 to see the hospitalization rate. I understand what  
19 Georges is saying, but you say the same thing if you  
20 have a pool of two- to five-year-olds who are passing  
21 around pertussis, you worry about them also as a source

1 for other -- you know, a six-month-old with pertussis.

2 I think we need to see the hospitalization data.

3 **DR. MODLIN:** Am I correct that the Red Book actually  
4 recommends hospitalization for kids under six months of  
5 age with pertussis? And if that's the case, could  
6 there possibly be some -- I don't want to say -- it  
7 wouldn't be an artificial difference in hospitalization  
8 rates, but it could be that kids with similar degrees  
9 of illness may be more likely to be hospitalized if  
10 they're younger and if that would be an issue.

11 **DR. ABRAMSON:** I have my Red Book authority looking  
12 this up.

13 **DR. MODLIN:** I think that's the issue. Georges?

14 **DR. PETER:** While Dr. Pickering is checking on the  
15 information from the Red Book, I wonder whether any  
16 advantage would exist from at least a MMWR publication  
17 about the potential for a shortage. Remember, this is  
18 a public meeting. The press is covering it, and some  
19 misconceptions could develop that we actually have a  
20 shortage when indeed we don't. So a statement in MMWR  
21 indicating the potential for a shortage and that

1 recommendations would be issued at this time, no change  
2 in current policy is warranted, I think would be very  
3 helpful.

4 **DR. MODLIN:** I agree. It's a good point.

5 Could --

6 **DR. SNIDER:** John, could I just get just a little  
7 further clarification Jon about the Academy's possible  
8 position? Are you saying that you would favor delaying  
9 the fifth dose over the fourth dose if there was some  
10 threshold met for hospitalization?

11 **DR. ABRAMSON:** And there was a high hospitalization  
12 rate in children after six months of age, after they  
13 get their booster -- after they get their six-month  
14 dose, their third DTaP. If there was a high  
15 hospitalization rate between then and five years of  
16 age, I think that has to go into the decision about  
17 which dose, if you had to eliminate a dose, would you  
18 eliminate. It's not going to be an easy decision. If  
19 the hospitalization rate is low, then I think we will  
20 go along with the -- with removal of the fifth dose.

21 **DR. BISGARD:** I have one more piece of data to add,

1 John. I don't know if --

2 **DR. MODLIN:** Sure.

3 **DR. BISGARD:** I did get some data from the National  
4 Immunization Survey, 1999 data from Emanuel Moriese  
5 [phonetic], and 90 percent of children are immunized  
6 with the fourth dose between 12 and 20 months, 80  
7 percent between 12 and 18 months, and the mean and  
8 median are both 16 months of age for that fourth dose.  
9 So that might give you a little more data.

10 **DR. MODLIN:** Larry?

11 **DR. PICKERING:** Yeah. John, to answer your question,  
12 the Red Book states that infants younger than -- after  
13 giving the details of the severity of the illness,  
14 particularly in prematures, that infants younger than  
15 six months of age with potentially severe disease often  
16 require hospitalization. It doesn't say they need to  
17 be, but it's very clear from the description it's a  
18 severe disease and that needs to be considered, but it  
19 is -- it is not implicit.

20 **DR. MODLIN:** Okay. Rick?

21 **DR. ZIMMERMAN:** Do we have that graph broken out by

1 three-month periods for the pertussis hospitalization  
2 rate in the second year of life, or is that just every  
3 six months? Can you put that graph back up?

4 **DR. WHARTON:** Again, I'm not -- I'm not completely sure  
5 what, in addition to these data, are needed. These are  
6 based on the reported cases of pertussis nationally in  
7 the United States and the intent was to present this as  
8 a stacked bar graph. The software had other ideas. So  
9 it came out as side-by-side bars, but these would --  
10 together the yellow bars and the green bars account for  
11 all the reported cases of pertussis in that age group  
12 and the yellow bars indicate the number of cases that  
13 were hospitalized. So --

14 **DR. ABRAMSON:** I'm sorry. We missed that. We were  
15 sitting here thinking that was incidence data.

16 **DR. WHARTON:** The incidence line is the purple line.  
17 So, I mean, I think these are the data that were being  
18 requested.

19 **DR. BISGARD:** And it is in your copy.

20 **DR. WHARTON:** I gave you a copy --

21 **DR. ABRAMSON:** All right. Well, that would be very

1 helpful in the discussions.

2 **DR. LEVIN:** But those people between ages one and two  
3 did get the fourth dose?

4 **UNIDENTIFIED SPEAKER:** Don't know.

5 **UNIDENTIFIED SPEAKER:** We don't know. They may well  
6 have.

7 **DR. BISGARD:** We don't the immunization status.  
8 Although vaccination history is not well reported, of  
9 those that we do have good reports, most of these  
10 children are under-vaccinated.

11 **UNIDENTIFIED SPEAKER:** Are under-vaccinated?

12 **DR. BISGARD:** They're under-vaccinated.

13 **DR. MODLIN:** They have disease.  
14 We need to wind this up pretty quick. Barbara?

15 **DR. WATSON:** Barbara Watson from Philadelphia.  
16 Just to back your statement, since '93, all the cases  
17 that we've had of pertussis in the six- to 11-month and  
18 over one year have been under-vaccinated, either only  
19 one dose or two doses of pertussis vaccine, and I think  
20 that's relevant for the --

21 **DR. MODLIN:** Okay. Phil, I'm sorry, we probably need

1 to bring this to some closure. I don't -- Dixie, I  
2 don't feel that we really need to vote on this since  
3 it's a consensus of the Committee, unless others feel  
4 differently, unless you would rather have a vote,  
5 Melinda. I think you had the advice that you need.

6 **DR. WHARTON:** I think if the Committee is comfortable  
7 with us using this as draft language, then it will, of  
8 course, be subsequently edited and worked on some more.

9 **DR. MODLIN:** And if at some point it really looks like  
10 there's a significant need to divert from this, then  
11 we'll either have some degree of consultation or even  
12 have a conference call meeting of the Committee, if  
13 necessary.

14 **DR. WHARTON:** We'll continue to keep you and perhaps  
15 Dr. Rennels advised as things progress.

16 **DR. MODLIN:** Are other members of the Committee  
17 comfortable with that approach? Dave?

18 **DR. JOHNSON:** Just to confirm something that we  
19 mentioned before, I think Georges brought it up,  
20 presently an article that would talk about the absence  
21 of a shortage but the possibility of a shortage and

1 that more information would be forthcoming.

2 **DR. WHARTON:** I think a very brief one- or two-  
3 paragraph notice to readers is an excellent idea. And  
4 perhaps we can also incorporate whatever is going on  
5 with Td vaccine that we can say at the same time as an  
6 update to the previous notice to readers.

7 **DR. MODLIN:** Terrific, great. Thank you. Kris, thank  
8 you very much.

9 Let's go on to the updates from each of the DHHS ex  
10 officio members. We typically usually start with  
11 Alison, but I promised that we could put Alison down  
12 the list. So, Walt, why don't we start with you, if  
13 that's okay.

14 **DR. ORENSTEIN:** We have provisional data for the year  
15 2000 and this is a table initially generated from April  
16 1999 in the MMWR for eight of the vaccine-preventable  
17 diseases of childhood  
18 or -- with rubella complication, Congenital Rubella  
19 Syndrome, provisional year 2000 data and percent  
20 decrease, and I think the important point has always  
21 been that last column. We still see reductions of 95

1 percent or more.

2 A couple of things to highlight here is we think this  
3 will probably be the first year we've ever gone below  
4 100 cases of measles in the United States. We've been  
5 at 100 before, and to put in perspective 10 years ago,  
6 we had almost 28,000 cases of measles in the United  
7 States.

8 Number two is we have a record low for mumps and our  
9 feeling is the almost exclusive use of combined MMR  
10 vaccine, which has really helped in reducing that  
11 health burden. The other thing to mention is that  
12 rubella, while not a record low at this point, is still  
13 quite low and is still primarily a disease of young  
14 Hispanics who were born and raised in countries that,  
15 until recently, were not practicing rubella  
16 vaccination. And hib may actually go down because we  
17 have a lot of unknowns in this number and as serotype  
18 information comes in, that number may actually be  
19 reduced.

20 Immunization coverage continues to be at record or  
21 near-record highs. This just shows you what was going

1 on in the '60's, '70's, and '80's and how much higher  
2 immunization levels are today. We are approaching 90  
3 percent for most of the routine vaccine-preventable  
4 diseases. For varicella, we've had exponential rises  
5 recently into the mid-60's range and a little bit of  
6 slowing in the last six months. We'll just have to  
7 follow that. But immunization levels are still very,  
8 very high.

9 At the end of January, I think a historic meeting was  
10 held, convened by the American Red Cross and a joint  
11 declaration on measles was issued. For those who don't  
12 know, measles is still the greatest vaccine-preventable  
13 killer of children in the world today. WHO estimates  
14 that about 900,000 children under five die annually  
15 from measles, the majority of whom are in Africa. The  
16 American Red Cross convened a group of agencies, and  
17 I'll show you, and they issued a declaration. We're  
18 hoping, in fact, that the American Red Cross will take  
19 a very active role in promoting measles, as well as  
20 including rubella as an opportunity with some of the  
21 campaigns for measles. So this declaration is being

1 promulgated through the Red Cross. To give you an idea  
2 of the organizations that have signed on to this  
3 declaration, they include the American Academy of  
4 Pediatrics, the CDC, the Gates Children's Vaccine  
5 Program, the International Pediatric Association, March  
6 of Dimes, the Pan American Health Organization, the  
7 Task Force for Child Survival and Development, the U.N.  
8 Foundation, UNICEF, USAID, and the World Health  
9 Organization. So I think the goal here is to eliminate  
10 this -- or to substantially reduce this major cause of  
11 mortality.

12 Also on the good news side is there have been some  
13 major budget increases for immunization in the 2001  
14 budget. We had had -- or having infrastructure money  
15 in the 317 Grant Program. We had a substantial  
16 increase, a 42.5-million-dollar increase for  
17 infrastructure, and we're working with states to get  
18 that money spent expeditiously and appropriately. And  
19 although much of this will likely go for children  
20 immunization, which was the real request, we are  
21 strongly encouraging states to use at least some of it

1 for adolescent and adult immunization, and we feel this  
2 is potentially an important pot of money after our  
3 experiences with influenza this year and the need for  
4 an adult infrastructure, that this is an opportunity to  
5 use that. And I think the other thing, we're working  
6 with the states. A major reason we had the big cuts in  
7 our infrastructure budget is the states were not able  
8 to gear up and spend it, carried over accumulated, and  
9 the Congress began cutting the base instead of the  
10 carryover. So our goal is to try and get this spent  
11 and spent appropriately.

12 20 million dollars were added for vaccine purchase;  
13 five million dollars for global polio eradication; and  
14 five million dollars for vaccine safety, which we  
15 intend to use to support the development of what we are  
16 calling clinical immunization safety assessment centers  
17 to do clinical evaluations and not just epidemiologic  
18 work, as well as expanding our Vaccine Safety Datalink.  
19 And the last thing I wanted to talk about is  
20 immunization registries. We had discussions of this,  
21 but they are functioning in places. States tell us

1 that about 21 percent of children under six have their  
2 immunization histories included in some form of local  
3 or state-population-based registries. All 50 states  
4 are developing them. The Healthy People 2010 goal  
5 includes the goal of 95 percent of children under six  
6 in fully operational registries. We've used registry  
7 data on IPV in Oklahoma, particularly, in looking at  
8 whether IPV was having an adverse impact on  
9 immunization coverage, which it was not. This just  
10 shows you data from Oregon where about 85 percent of  
11 the birth cohort has at least two doses of vaccines  
12 registered in the registry and this looks at the number  
13 of children and shows what happened with thimerosal  
14 recommendations and their change. The yellow is doses  
15 given within five days. The orange is doses given  
16 within 56 days of birth. And you can see, there was a  
17 marked drop with the change in recommendations and then  
18 the concern which was shown in the MMWR last week is  
19 that when thimerosal-free vaccines became available,  
20 very slow implementation and, as of yet, not a return  
21 to baseline levels of use of hepatitis B vaccine in the

1 first 56 days of life and particularly the birth dose.

2 So just to show you that we are making use of  
3 registries in this country.

4 Thank you.

5 **DR. MODLIN:** Thanks, Walt. Questions or comments for  
6 Walt? Bill?

7 **DR. SCHAFFNER:** Walt, we always appreciate the -- your  
8 second slide, which is the comparative morbidity slide.

9 I wonder if you might take three thoughts.

10 The first is, it would be helpful to have the ages  
11 represented on that slide because we use it all the  
12 time. The second is, it occurs to me that we might  
13 begin to consider including varicella, hepatitis B,  
14 pneumococcal infections, and influenza in that. And of  
15 course, my last suggestion is that you create two  
16 slides. We would like to see an annual adult  
17 immunization slide.

18 (LAUGHTER)

19 **DR. MODLIN:** I'm sure Walt will take that under  
20 advisement, Bill.

21 **DR. SCHAFFNER:** Thank you.

1       **DR. MODLIN:** Georges?

2       **DR. PETER:** Approximately, I think it was last fall,  
3       wasn't it, Sam, that the Institute of Medicine issued  
4       its report on "Calling the Shots," which related to the  
5       infrastructure and I don't know if the 42.5 million  
6       increase -- or really, 60-plus-million-dollar increase  
7       in 317 reflected Congress' reaction to the IOM report,  
8       but the IOM report was pretty comprehensive. I wonder  
9       to what extent it has been appreciated by our elected  
10      representatives in Congress and to what extent  
11      initiatives are underway to implement some of those  
12      recommendations.

13      **DR. ORENSTEIN:** I think it is a very good question. I  
14      presume -- And Sam is on the IOM Committee and is  
15      holding the Executive Summary in his hands.  
16      Several things, one is, I presume at the time the  
17      Congress added this infrastructure money into the  
18      budget they had access to the IOM Report. We knew  
19      officials from the IOM had briefed the Congress. So  
20      I'm sure they -- I can't be certain, but I presume they  
21      took that into consideration in the increases that we

1 got. We are continuing to work with the Institute of  
2 Medicine. And next Monday, in fact, is a meeting of a  
3 new advisory committee to look at how we begin to take  
4 the show on the road, and there will be a series of  
5 three regional meetings planned to not only look at  
6 federal inputs, but to try and get greater state,  
7 local, and private sector inputs into our immunization  
8 system. So that should be over the course of the next  
9 year.

10 There are a series of other steps that we are doing to  
11 look at implementing some of the recommendations such  
12 as more transparency and grant awards, development of  
13 formulas and the like, and we're working with the  
14 Association of State and Territorial Health Officials  
15 to try and implement some of those recommendations.

16 **DR. MODLIN:** Dennis?

17 **DR. BROOKS:** I don't know if you can comment on this,  
18 but the issue of funding for registries and long-term  
19 maintenance seems to come up all the time. Do you have  
20 any comment on that?

21 **DR. ORENSTEIN:** I think one is certainly the 42.5

1 million dollars could be used to the extent that states  
2 had it for registry-building, enhancements, and  
3 maintenance. There have been discussions -- The  
4 National Vaccine Advisory Committee has given  
5 recommendations for developing a sustained support  
6 mechanism. We do not have that at the moment from the  
7 federal level. There are potentials that states have,  
8 such as the Medicaid program. There's a process for  
9 obtaining Medicaid funds that could substantially  
10 enhance funds available for registry development.  
11 There have been discussions about. I think, clearly,  
12 some of it will have to come from state and local  
13 resources.

14 **DR. MODLIN:** Myron?

15 **DR. LEVIN:** Walt, are all these registries homegrown  
16 and are they all different, or is there any kind of  
17 template being made that could be used by all states?

18 **DR. ORENSTEIN:** They're generally homegrown. The  
19 feeling has been that they will be used most if they  
20 are tailored to meet local and state needs, and there  
21 has been effort to try and get them to communicate with

1 one another. There's a series of functions that have  
2 been developed. And in a recent MMWR, we've listed --  
3 I think there are 13 functions that we think registries  
4 need to fulfill.

5 On either side of you, Natalie and Dave, you may want  
6 to comment further from your prospective about -- about  
7 these issues, but the feeling has been that we didn't  
8 want a federal or -- a template. We did develop, with  
9 the National Vaccine Advisory Committee, what we felt  
10 were minimum data that should be in a registry. So  
11 that is standard.

12 **DR. LEVIN:** I mean, I ask the question for two reasons.

13 The one you covered was communication between states,  
14 but the other is, instead of reinventing part of it  
15 each time, is there some way you can give someone a  
16 jump start by saying, here's the basic plan, and then,  
17 you know --

18 **DR. ORENSTEIN:** There's been a lot going on. This was  
19 one of the big things that the Robert Wood Johnson  
20 Foundation began with the All Kids Count program.

21 There is a group called AIRA, American Immunization

1 Registry Association, that has had meetings and we've  
2 had -- our last meeting was in Rhode Island. Our next  
3 one is in July in Arkansas, and there has been  
4 substantial sharing between the states of experiences  
5 and what works. I think the big -- probably the big  
6 impediments are what Dennis mentioned, the issue of  
7 funding and the other provider participation. Private  
8 provider participation has been the biggest barrier.

9 **DR. MODLIN:** Walt, we had a nice presentation on  
10 immunization registries here, I think, now about two  
11 years ago, and maybe with the turnover of the Committee  
12 and just for all us, it would be interesting to hear an  
13 update on what progress has been made. Maybe we can  
14 add that to a future agenda.

15 **DR. PETER:** John --

16 **DR. MODLIN:** Georges, yes?

17 **DR. PETER:** I believe, Walt, that the report of the  
18 NVAC on registries and a national system is pending in  
19 publication and I think the next meeting would be an  
20 appropriate time to have a presentation describing that  
21 report and the progress and lack of progress that's

1           been made.

2           **DR. MODLIN:** Good point. Terrific.

3           **DR. ORENSTEIN:** NVAC has been the group working most  
4           closely on immunization registry issues.

5           **DR. MODLIN:** Sam, last comment on this?

6           **DR. KATZ:** Well, I had two, if I may, John. Sam Katz  
7           from Duke.

8           One was, as far as the measles, the American Red Cross  
9           is concerned. Although it's headed American Red Cross,  
10          I think their goal is to mimic Rotary and have  
11          international participation by the Red Crescent, the  
12          Red Cross organizations throughout the world and  
13          mobilize, not just fund-raising, but volunteers  
14          consortia, collaborations, and it may -- it may be very  
15          exciting. We're all optimistic, though. It's a tough  
16          job.

17          The other, in relation to what Walt said and very  
18          nicely, for those of you who go home, one of the  
19          striking things in this Institute of Medicine study --  
20          And I hope -- you know, the summary is only a 13-page  
21          thing you can read in ten minutes, but the striking

1 thing is how little many states have put into their  
2 program as relying so heavily on the federal funding  
3 with the Vaccines for Children program, with CHIP, with  
4 Medicaid. A lot of states have just sort of coasted  
5 along saying, well, we don't need to put money into the  
6 immunization programs, and I think that's part of what  
7 the dissemination committee that Walt mentioned, which  
8 starts next Monday, will be looking at, how individual  
9 states will rectify some of these inequities.

10 **DR. MODLIN:** Thank you. Let's move on, if we could, to  
11 an update from the FDA. Dr. Midthun?

12 **DR. MIDTHUN:** Sure. Can you hear me?

13 I'll just provide a brief update. We had a Vaccines  
14 and Related Biologicals Advisory Committee meeting at  
15 the end of January, and the main topics of discussion  
16 at that meeting were the influenza virus vaccine,  
17 strain selection, and as you heard, the two -- it was  
18 recommended that the two A strains be retained and that  
19 there would be further discussion required to determine  
20 the B strain that should be selected. And the other  
21 focus of discussion was the licensed Limerix Lyme

1 Disease vaccine and discussions regarding the safety  
2 data for that vaccine, both pre-licensed and accrued  
3 since the time of licensure.

4 We have an upcoming advisory committee meeting that  
5 will held March 7th, 8th, and 9th, and on the 7th we  
6 will be discussing Glaxo SmithKline's license  
7 application for their combination DTaP/IPV/hepatitis B  
8 vaccine. Then on the 8th of March, we'll be discussing  
9 a general -- it will be a general discussion about  
10 approaches that might be taken in licensing new  
11 pneumococcal conjugate vaccines. That's an issue in  
12 the sense that Prevnar, the pneumococcal conjugate  
13 vaccine from Wyeth Lederle was licensed in early 2000  
14 and the issues becomes obviously that it would be very  
15 difficult to do a placebo-controlled study in this  
16 country to evaluate other pneumococcal conjugate  
17 vaccines and what might be other approaches that might  
18 be taken.

19 And then the half-day session on the 9th of March will  
20 be to finalize the influenza recommendations. Then I  
21 guess one other thing I might mention is that the NIAID

1 and the Center for Biologics are co-hosting a  
2 pneumococcal vaccine conjugate workshop. Actually,  
3 it's coming up on Monday. It will be February 26th.  
4 It will be a small working-group-type session to talk  
5 about what we know about the immune correlates of  
6 protection with regard to pneumococcal disease and  
7 pneumococcal vaccines.

8 Thank you.

9 **DR. MODLIN:** Karen, could you expand a little bit about  
10 the VRPAC discussions surrounding the safety of Lyme  
11 vaccine -- Lyme Disease vaccine? I assume it focused  
12 on the arthritis issue.

13 **DR. MIDTHUN:** Sure. There had been some expression  
14 from members of the public regarding concerns over the  
15 safety of this vaccine. So the purpose of the advisory  
16 committee discussion was to discuss the safety data  
17 that were available to date and the plans for the  
18 continued safety evaluation of this product. So such  
19 what was reviewed were the safety data that were  
20 available at the time of licensure. And just to recap  
21 quickly, there were no differences with regard to the

1 incidence of arthritis in the vaccinated of the placebo  
2 groups. In the controlled data that were available at  
3 the time of licensure, there has been a theoretical  
4 concern about the potential for perhaps an association  
5 with arthritis with regard to this vaccine. The reason  
6 for that is that early studies that have been done  
7 which have looked at treatment-resistant Lyme arthritis  
8 noted that there was some association with reactivity  
9 to OSP-A, which is not normally seen in most people  
10 infected with Lyme Disease, and the vaccine itself is a  
11 recombinant OSP-B vaccine.

12 So this was something that had been recognized during  
13 the development of the vaccine, and as such, it was  
14 looked for during the clinical development of this  
15 vaccine and -- and again, I would like to reiterate  
16 that no differences were seen. I should note that in  
17 the immediate post-vaccination period and the clinical  
18 trials, there was an increased incidence of arthralgias  
19 noted in vaccine recipients compared to placebo  
20 recipients, but these were -- there were transient and  
21 there were no long-lasting sequelae associated with

1 vaccination versus placebo.

2 In the post-marketing, as part of the licensure  
3 commitment, the SmithKline Beecham agreed to do a large  
4 post-marketing study to gain additional data and  
5 experience and look at this further to ensure that  
6 there were no problems in this particular area. They  
7 are -- They did initiate after licensure and are in the  
8 process of continuing to do a post-marketing study  
9 where the ultimate intent is to actually accrue 25,000  
10 vaccinees and, for each of those vaccinees, three  
11 unvaccinated controls to further examine this issue.  
12 It's a prospective cohort study that's being conducted  
13 in Harvard Pilgrim and they are now trying to enlist  
14 some other sites. The difficulty has been that they  
15 have not accrued vaccinated individuals as quickly as  
16 they had hoped. So although the ultimate target is for  
17 25,000 vaccinees, at the current time, there are  
18 roughly I think 3,000 so far as accrued. And the hope  
19 in enlisting these other centers is it will bring that  
20 number up to roughly 9,000. And of course, the intent  
21 is to continue accruing. Preliminary data that exists

1 from that study do not, again, show a difference in  
2 terms of arthritis, but those are preliminary data  
3 because they have to look at the cases a lot more  
4 carefully. So those are preliminary data.

5 There have been reports to VAERS, a number of different  
6 reports, including cases of arthritis, arthrosis. So,  
7 again, the concern was, is there something different  
8 that we should be doing in terms of this ongoing post-  
9 marketing study. And r recommendation of the advisory  
10 committee was that they did not really see that there  
11 was convincing evidence that there was anything  
12 different with regard to the safety profile now as with  
13 regard to at the time of licensure. However, the post-  
14 marketing adverse events were of concern and there was  
15 a desire obviously to get accrual into this post-  
16 marketing study to try to get those data more quickly  
17 and to explore whether there might be other avenues to  
18 gain additional data as well.

19 And the other discussion was that -- they suggested  
20 that we work with the CDC to try to get out a  
21 vaccination immunization sheet that would give patients

1 a better idea of vaccination and what to expect. Also,  
2 they recommended that we work with a sponsor to go over  
3 the package insert which was a process that was already  
4 sort of in progress to see whether we might also revise  
5 that to better reflect some of the happenings to date.

6 **DR. MODLIN:** Karen, thanks very much. Other questions  
7 for Dr. Midthun? Dave?

8 **DR. JOHNSON:** Could you update us again a little bit on  
9 the time line for the Glaxo SmithKline license  
10 application for DTaP/hib/IPV combination and what would  
11 be potentially the earliest that it might be licensed?

12 **DR. MIDTHUN:** As I said earlier, we'll be discussing  
13 this at the upcoming advisory committee and obviously  
14 getting the input from our advisory committee regarding  
15 how they view the adequacy of the safety and the  
16 efficacy data that will be presented. And of course,  
17 we'll take that under advisement. I can't predict, of  
18 course, what kind of input we'll get but, obviously,  
19 once we have that, we'll work with that.

20 I think another thing I should mention is that there  
21 are things beyond safety and efficacy that also are

1 obviously taken into consideration for licensure. For  
2 example, there are maybe manufacturing or product  
3 issues, and we really have to make sure that all of  
4 those issues have been adequately addressed.

5 I really can't -- I really cannot give you an estimate  
6 as to what might be the earliest time.

7 **DR. MODLIN:** Thank you, Karen. Questions or comments?  
8

9 (NO RESPONSE)

10 **DR. MODLIN:** Karen, thank you very much. We'll go onto  
11 the report from NIH, Dr. Carole Heilman.

12 **DR. HEILMAN:** Last October you had a lot of discussion  
13 around the issues of bioterrorism and how that may  
14 indeed affect some of the decision-making with your  
15 policies. And what I thought I would do is give you a  
16 little more things to be thinking about, as I'm sure  
17 these issues will come back again.

18 I really want to focus on some of the areas that are of  
19 most relevance to you, but just to put this again in  
20 perspective, the NIAID actually does have a pretty  
21 vigorous bio-t research agenda and a lot of what we do,

1 again, are within our mission are basic research and  
2 infrastructure regarding right now opportunities to  
3 really sequence and annotate a lot of the genomics of  
4 bioterrorist organisms.

5 We also are involved in the design and development of  
6 diagnostics, as well as clinical evaluation of new  
7 therapies.

8 But really what I want to focus on with you today is  
9 some of the new things that we are doing with respect  
10 to design and development of vaccines for anthrax and a  
11 little bit of information with respect to some new data  
12 with regard to smallpox.

13 So what I wanted to alert you to is a while back we  
14 initiated a protocol with a smallpox working group,  
15 which asked the kind of question, could we indeed  
16 expand or extend our current supply of Dryvax? And the  
17 question was raised based on some earlier data that  
18 suggested that a one-to-ten dilution of Dryvax could  
19 give a 90 percent immunization rate. So Dryvax hasn't  
20 been used for a while. So we took it upon us to answer  
21 that kind of question.

1 So, again, within our VTU structure -- this time we  
2 used St. Louis University -- we did a pilot study in  
3 healthy adult volunteers who had no history of  
4 vaccination. There were 20 volunteers per three group  
5 [sic] using undiluted one-to-ten, one-to-100, a very  
6 simple design. The endpoints that we used were  
7 positive skin lesions, but we also have a lot of  
8 immunology that's still in the works. Unfortunately,  
9 I'm not going to be able to give you some of the  
10 immunological results, but one of the goals of this  
11 particular activity was to really look very carefully  
12 with modern day techniques to see what the -- what the,  
13 I should say, repertoire or what the patterns of immune  
14 response are, which may be important as we try to go to  
15 licensure for new smallpox vaccines.

16 So here's the results. We had 95 percent take rate in  
17 the undiluted, but unfortunately, it dropped in one-to-  
18 ten to 70 percent and it even dropped another  
19 significant amount when we did a one-to-100. It went  
20 down to 20 percent. And the reason that I bring this  
21 up for you is because there may be situations until we

1 get a new vaccine where decision-making in terms of how  
2 to use the limited stocks that we have will come into  
3 play. And again, you have situations where as you  
4 dilute things out you can cover more but your efficacy  
5 is less. So this may be brought to you at some point  
6 in time. So I just wanted to share this data with you.  
7 Going to anthrax, which is another area that you had  
8 again a lot of interest, there was a question asked by  
9 Sam Katz at that time, what are you doing on this  
10 anthrax vaccine and can we go past MVA -- I'm sorry,  
11 AVA? And we are actually working in close  
12 collaboration with DOD, and there have been a number of  
13 issues within DOD that needed to be resolved. We are  
14 very happy to report we had a meeting with them  
15 probably two weeks ago and a lot of the issues which  
16 were really legal liability issues really we seem to be  
17 past right now. So we are entering into a formal  
18 agreement with DOD to begin testing three rPA  
19 candidates. This is recombinant protective antigen,  
20 surface antigen, better purified. Animal study data  
21 have suggested that you need less immunizations, maybe

1 one or two, in order to reach the same antibody level  
2 as you would with AVA. There are three variants of rPA  
3 under development at USAMRID and at two other  
4 companies, DERA and AVANT. Within DOD, there's a  
5 consortium and it's essentially an agreement that we  
6 would -- they would all work jointly towards the same  
7 goal, which was improvement of AVA. And what we're  
8 planning to do is to do a lot of the clinical phase one  
9 testing for them to be able to help in their decision-  
10 making.

11 The USAMRID rPA is the most developed at this point in  
12 time and we believe right now -- We've met with JVAC,  
13 which is really the implementation arm of a lot of  
14 USAMRID, and we think that the trials can easily begin  
15 this year.

16 And finally, I just wanted to tell you that, even  
17 though we're focused on rPA, we're not eliminating  
18 other vaccine -- potential vaccine candidates, and we  
19 do have an ongoing functional genomics and proteomics  
20 project with Office of Naval Research, and we'll be  
21 doing a lot of characterization of the gene protein

1 expression patterns, especially doing germination  
2 patterns with anthrax. Obviously, we hope that some of  
3 this information will be very useful to either validate  
4 or to expand our vaccine development program.

5 So I just wanted to leave you with that.

6 **DR. MODLIN:** Thanks, Carole. Are there any questions?  
7 Jon?

8 **DR. ABRAMSON:** Carole, I think it was here maybe a  
9 couple of times ago that you talked about data from the  
10 NIH looking at influenza and reduced dosage for  
11 pandemic planning. Where does that stand?

12 **DR. HEILMAN:** That was actually presented at the last  
13 meeting by Linda Lambert, and we were able to use at  
14 least that particular strain in April to show that a  
15 dilution of that strain was not significantly different  
16 from the antibody responses that you would have seen if  
17 you've given undiluted.

18 **DR. ABRAMSON:** Are they looking with other strains? I  
19 mean, was that the end of the study?

20 **DR. HEILMAN:** That was essentially it. You know, if we  
21 need to address a particular question again, we'll be

1 glad to try and do that.

2 **DR. MODLIN:** Further questions?

3 (NO RESPONSE)

4 **DR. HEILMAN:** Thank you.

5 **DR. MODLIN:** Carole, thanks very much.

6 Moving on, Dr. Geoffrey Evans, the Vaccine Injury  
7 Compensation Program. Geoff?

8 **DR. EVANS:** While we're waiting to get it set up,  
9 there's a one-page handout and the monthly statistics.

10 Copies are at the back of the room.

11 What I thought I would do is just amplify on a couple  
12 of points that were made in October about where we  
13 stand and some recent legislative events.

14 First of all, in terms of the monthly statistics -- And  
15 I know for some of you, this will be your first meeting  
16 and you won't quite be familiar with some of the  
17 processing terms, but basically, we have -- we're still  
18 getting pre-'88 claims filed. These are for vaccines  
19 that were given before the program was enacted in 1988,  
20 and those are dismissed usually after they're filed.

21 So far we've received, for the active program, 66 this

1 year, which is about 17 per month. And the only thing  
2 of note under adjudications is that we've just about  
3 adjudicated all of the pre-'88 claims. We have a  
4 couple of dozen left. And in awards, we've given 1.2  
5 billion to date, with nearly a billion of that  
6 represented from the thousands that were received under  
7 the older program. 348 paid out of the Trust Fund to  
8 date, and currently, the Trust Fund has 1.5 billion  
9 dollars in it.

10 In terms of, quote, unquote, "new vaccines," hepatitis  
11 B, hib, and varicella were added in 1997. We've  
12 received hundreds of hepatitis B claims when the filing  
13 deadline for older administrations passed in 1999. And  
14 those are going to be adjudicated probably over the  
15 next five to seven years. I've spoken about that  
16 before. And right now, the Court is getting geared up  
17 to begin looking at these claims. A very small amount  
18 of hib and varicella, and DTaP to date is still just 24  
19 claims, and rotavirus, a total of eight.

20 As I discussed this part October, there was legislation  
21 that had just passed called The Children's Health Act

1 of 2000 which, among its other provisions, allows for  
2 petitioners who come into the Vaccine Injury  
3 Compensation Program to be compensated if they allege  
4 an injury and there's not six months of continued  
5 effects, which is required under law. With this  
6 provision, if they were to have experienced in-patient  
7 hospitalization and surgical intervention, then that  
8 would allow them also to be eligible for compensation,  
9 assuming that the medical aspects of the case  
10 qualified.

11 Now, what drove this legislation was the fact that the  
12 rotavirus vaccine, which is a very strong case to be  
13 included as an injury under the program, would -- if  
14 adjudicated would leave many of the petitioners unable  
15 to receive compensation because most of the cases  
16 resolved completely, either after closed or open  
17 reduction. So this was put in specifically for that  
18 and signed into law and will cover both pending claims,  
19 as well as future claims. And we are in the process of  
20 -- through publication of a notice of rule-making to  
21 add intussusception to the table, and really, all this

1 does is just further streamline the process. Right  
2 now, if someone were to file a claim for -- under  
3 rotavirus or intussusception, they would simply need to  
4 show the medical records that the event occurred and  
5 document as such. And the epidemiology -- the data  
6 that came out of the case control settings and other --  
7 datalink studies, we would be able to provide a very  
8 strong case that there is an association. I don't  
9 think there would be any problem in terms of receiving  
10 compensation, but by adding it to the Vaccine Injury  
11 Table, then just the mere fact that intussusception was  
12 documented is good enough. So there's a legal  
13 presumption on that basis.

14 I just want to clarify one point when it comes to the  
15 pneumococcal conjugate. This was a slide I showed last  
16 October. And the key change here is the word  
17 "officially" in the fourth bullet, which has now been  
18 italicized. With the publication on October 6th in  
19 MMWR of the notice that CDC now views the  
20 recommendation for pneumococcal conjugate vaccine as  
21 being one of routine use in children, that qualifies it

1 for inclusion into the compensation program because  
2 there's already an excise tax in place. However, what  
3 we're supposed to officially do is publish a notice  
4 that the Secretary is announcing that this is the CDC  
5 recommendation, and that has not happened yet because  
6 we were -- we have included it in the NPRN under  
7 "Development," which has, of course, taken a lot longer  
8 than we thought it would. And the most recent hang-up  
9 has been the fact we have a new administration. And of  
10 course, we would like to look at any pending  
11 regulation.

12 So we are going to try to just publish a very quick  
13 notice in the *Federal Register* in the next couple of  
14 months if we can just announcing that it's now  
15 officially viewed by CDC, but for all intents and  
16 purposes, it is covered under the program. It is  
17 listed on our web site because the effective date of  
18 coverage goes back to the excise tax.

19 If you understand this, you're doing a lot better than  
20 a lot of other people. Every year I come and I talk  
21 about this legislation. I don't think this has been

1 re-introduced. At the end of the session, of course,  
2 all pending legislation that's not passed expires and  
3 it has to be re-introduced, but there's been ongoing  
4 efforts to reduce the excise tax from 75 cents per dose  
5 to 25 cents per dose. So I would assume that this is  
6 going to be re-introduced this year.

7 And probably more importantly, there is a report that  
8 was issued by the Government Reform Committee at the  
9 end of last year that came up with three  
10 recommendations but not any specific language or  
11 guidance as to how to go about this, but basically that  
12 the Reform Committee, based on hearings on the Vaccine  
13 Injury Compensation Program thought that there should  
14 be a review of the current table to make sure it  
15 reflects science and try to come up with a reasonable  
16 alternative standard for non-table claims. This is due  
17 to the fact that in contrast to the beginning of the  
18 program in which you had vaccines and conditions  
19 listed, there was a fair amount of information in the  
20 literature about these conditions. By adding new  
21 vaccines, it takes a while for the literature to catch

1 up and there are very few conditions that are listed  
2 under the newly-added vaccines. So for petitioners,  
3 for example, with hepatitis B, very few of these claims  
4 of the 322-odd claims list a table injury or as a table  
5 injury because there's only one table injury listed,  
6 and that's anaphylaxis. So each claim has to then be  
7 approached on a causation basis, which is a very timely  
8 and difficult task for the court and the petitioners.  
9 So it has come up as a suggestion that we should look  
10 into maybe coming up with a different approach for off-  
11 table claims but continue to have a strict standard for  
12 the conditions replaced on the Vaccine Injury Table  
13 itself.

14 And the last suggestion had more to do with the  
15 Department of Justice, and really resides totally in  
16 the Department of Justice, and that just has to do with  
17 the process itself in terms of being less adversarial  
18 and trying to be more user-friendly and more  
19 streamlined.

20 There were some bills introduced in the last session  
21 that have not been re-introduced that I know of that

1 would have changed the burden of proof and tried to  
2 make it less adversarial and it'll be amazing to see  
3 what will happen this legislative session.

4 That's where things stand now. Any questions?

5 **DR. MODLIN:** Questions for Geoff? Paul?

6 **DR. OFFIT:** Geoff, one quick question.

7 The compensation for the rotavirus-induced  
8 intussusception, is that just when the case occurred  
9 within 15 days of receipt of that vaccine?

10 **DR. EVANS:** Well, the intussusception rule-making that  
11 we're going to propose and that was approved  
12 unanimously by the Advisory Commission on Childhood  
13 Vaccines would make it 30 days. So --

14 **DR. OFFIT:** Even though there was no statistically  
15 difference between a vaccine and unvaccinated group in  
16 the 15- to 30-day range?

17 **DR. EVANS:** If that's indeed -- I mean, this is right  
18 now not official policy yet because it has to still be  
19 approved within the Department and go through rule-  
20 making and public comment, but there would -- we know  
21 that certainly the first two weeks, there was clear

1 evidence and association, and where that -- you know,  
2 if it's a bell-shaped curve, where do you cut off? Is  
3 it two weeks and one day? Is that not vaccine-related?

4 So our proposal was to go ahead and extend the  
5 additional benefit of the doubt for those two weeks.  
6 Now, I claimed that we would go forward today on  
7 causation. In fact, it's not clear what the court  
8 would do if, indeed, we were to contest it, and I would  
9 assume that since we have announced publicly that our  
10 approach is zero to 30 days that we would concede a  
11 case that fell within that range.

12 **DR. MODLIN:** Further questions or comments? Yes?

13 **DR. BERNIER:** Roger Bernier from NIP.

14 Geoff, could you comment on the rationale or the  
15 thinking as to why there would be a different standard  
16 for a table injury as opposed to a non-table injury?  
17 If I understood you correctly, you implied that the  
18 standard would be different. And how does that relate  
19 to the other point you made about desire to have  
20 changes in the burden of proof required? Is that  
21 related to that or unrelated?

1       **DR. EVANS:** The Vaccine Injury Table was established by  
2 Congress as a compromised mechanism in 1986. And  
3 administratively, the Secretary has made changes to the  
4 table twice, in 1995 and 1997. Those changes were  
5 based, in large part, on the Institute of Medicine  
6 reports which used a causality standard in setting up  
7 five categories as far as judging whether it was a  
8 causal relation between a vaccine and a condition. So  
9 that has been the approach for either adding to or  
10 taking off conditions on the Vaccine Injury Table.  
11 With -- If it's not a table injury, the court required  
12 that there be a standard of proof for proving  
13 causation. In fact, that is also 95 percent. Of  
14 course, when you have conditions which there's very  
15 little literature or just case reports where the  
16 literature is not clear, that's a standard that is very  
17 difficult to surmount, and the Court has been rejecting  
18 very large percentages of claims that have been  
19 presented for off-table conditions.  
20 So if this is going to be the predominant kind of claim  
21 -- In other words, if 75 percent of claims that are

1 going to be filed now in the years to come are going to  
2 be for conditions where the science is not clear enough  
3 to add them to the table -- there is growing pressure  
4 to maybe consider a standard that wouldn't be quite as  
5 strict as a causality standard in terms of adjudicating  
6 those on a causation basis.

7 **DR. MODLIN:** Paul?

8 **DR. OFFIT:** I'm sorry. One other quick question,  
9 Geoff.

10 When you said that there was an interest in decreasing  
11 the federal excise tax from 75 cents to 25 cents, is  
12 that because there is more money in the program now  
13 than you need? Is that --

14 **DR. EVANS:** Well, that's been the perception. I mean,  
15 1.5 -- it's hard to spend 1.5 billion dollars quickly  
16 and --

17 **DR. OFFIT:** Why don't you -- Why don't we spend it on  
18 studies of vaccine safety?

19 **DR. EVANS:** You know, that's been thought of before.  
20 I'm being a little facetious.

21 The real answer to your question is that there's -- and

1 a GAO basically looked into this. They had two  
2 reports, and one specifically focused on the trust  
3 fund. And interestingly enough, they didn't come up  
4 with a recommendation in terms of what to use the money  
5 for, recognizing consumers think the money should only  
6 be used for the compensation program. Obviously,  
7 governmental agencies would like -- in this area in  
8 this time of [inaudible] budgets, they would like to be  
9 able to come up with additional resources, but -- and  
10 there's also the view that the Vaccine Injury Trust  
11 Fund is too big because we're being too difficult in  
12 terms of our criteria for compensating cases.  
13 So the fact that it's been so politically-charged and  
14 controversial makes that kind of outcome very difficult  
15 politically. I would also -- No, I'll stop at that  
16 point. I won't get into any more.

17 (LAUGHTER)

18 **DR. EVANS:** Yes?

19 **DR. MODLIN:** Dr. Severyn?

20 **DR. SEVERYN:** Dr. Christine Severyn, Vaccine Policy  
21 Institute.

1 I just wanted to make the Committee aware, if you're  
2 not already aware, that the new legislation that adds  
3 rotavirus vaccine to the Vaccine Injury Table only  
4 compensates or what would -- puts on that Vaccine  
5 Injury Table those cases in which in-patient  
6 hospitalization occurred and surgery. The cases that  
7 were, quote, "repaired" with an enema are not on this -  
8 - not on the Vaccine Injury Table. Is that not  
9 correct, Dr. Evans?

10 **DR. EVANS:** Well, that is correct. And certainly,  
11 there may be some that will not be compensated, it's  
12 likely, but the program only pays for unreimbursed  
13 medical expenses, and this is a fairly transient  
14 condition. Obviously, it's a great stress to the  
15 family and can be to the child, but if Congress was  
16 going to go forward with providing some kind of relief  
17 in this area, they felt that surgery should be the  
18 bottom line in terms of what would be compensable  
19 because there's a much greater chance of complication.

20 **DR. SEVERYN:** So if it's outpatient surgery, it's not  
21 on the table?

1           **DR. EVANS:** I believe that outpatient surgery would not  
2           be any problem. And most children that undergo  
3           intussusception surgery would not be on an outpatient  
4           basis.

5           **DR. MODLIN:** I can't imagine that would ever be the  
6           case.

7           **DR. SEVERYN:** Okay. But the point I was making is that  
8           the children that have it repaired through enema are  
9           not covered through the Vaccine Injury Compensation  
10          Program?

11          **DR. EVANS:** That's correct, based on current law. This  
12          is not something that the Secretary could change  
13          administratively.

14          **DR. SEVERYN:** Yes. But the ACCV, that's a whole other  
15          issue.

16          **DR. EVANS:** I just wanted to make that one follow-up to  
17          Paul. I know I had a senior moment and I forgot.  
18          It turns out that Congress recognizing that the Highway  
19          Trust Fund was being used for purposes other than what  
20          was intended originally by the legislation specifically  
21          put a provision in I believe the pneumococcal conjugate

1 legislation which specifically prohibits the Vaccine  
2 Injury Compensation Trust Fund from being used for  
3 anything other than compensation and for the  
4 administration budgets.

5 **DR. SEVERYN:** Some of the things that are coming out at  
6 the ACCV meeting, the things that are coming from the  
7 Treasury Department, is that the Vaccine Injury  
8 Compensation Trust Fund is being used for deficit  
9 reduction and other purposes. Is that not correct?

10 **DR. EVANS:** That's absolutely --

11 **DR. MODLIN:** I think Dr. Evans has already been pretty  
12 clear as to what -- as to exactly what it is being used  
13 and what Congress has intended it to be used for. It  
14 sounds to me like it's going to take an act of Congress  
15 to use -- for us to spend a dime of that for anything  
16 else. So I think that's probably the bottom line.

17 **DR. EVANS:** But I just want to clarify one point.  
18 Any trust fund is used for deficit reduction.

19 **DR. MODLIN:** Thanks. Geoff, thanks very much.  
20 The next report will be from the National Vaccine  
21 Program Office, Dr. Marty Myers.

1       **DR. MYERS:** As you know, the National Vaccine Program  
2       Office operates across the different agencies of the  
3       Department and with the U.S. AID and Department of  
4       Defense. So my report, while it's the NVPO, is also  
5       the interagency vaccine group which is the mechanism by  
6       which we operate.

7       I'm going to give part of the report and then Georges  
8       Peter, who is the Chair of the National Vaccine  
9       Advisory Committee, is going to give part of the  
10      report.

11      One of the things that NVPO does is administer a  
12      program called the Interagency Research Program. This  
13      is a small inside-government/across-agency research  
14      program that is specifically intended for meeting unmet  
15      needs, those things that sort of fall between the  
16      cracks, the things that fall between the different  
17      funding cycles, and so on. A number of you have  
18      attended, for example, a number of workshops such as  
19      the thimerosal workshop a couple of years ago that were  
20      funded by this mechanism.

21      The National Vaccine Advisory Committee helps us

1 establish the priority areas for this unmet need  
2 funding, and I thought it would be worth -- just  
3 talking about last year's priorities and then I'll show  
4 you how the money is awarded across agencies for these  
5 particular issues.

6 For this last year, or this current year, the top  
7 priority area is vaccine safety and adolescent and  
8 young adult immunization. Last year, it was vaccine  
9 safety and the prior year to that, it was pandemic  
10 influenza and new priority vaccines, especially  
11 tuberculosis. These -- All these topics remain within  
12 the priority areas.

13 I show this primarily because vaccine safety accounts  
14 for about 43 percent of the funding, and for those of  
15 you who are interested specifically in infrastructure  
16 relating to adolescent immunizations, this was an area  
17 that NVAC felt that was a major gap. And when we went  
18 back and looked at our prior funding, there was none  
19 for adolescent and young adult immunization. So this  
20 year, there is 11 percent of the six-million-dollar  
21 funding is directed at adolescent medicine. And you

1 can see pandemic influenza is -- the research activity  
2 -- Some of the questions earlier about pandemic flu, a  
3 number of these studies are being conducted through the  
4 unmet needs gap-filling mechanism.

5 Another issue which has -- that we have been involved  
6 with is the laboratory containment of wild type  
7 polioviruses. You heard yesterday about the global  
8 eradication. That's half the story. The other half of  
9 the story is all the samples in various freezers that  
10 contain, or have the potential to contain, wild type  
11 poliovirus. And those who are interested, the WHO  
12 action plan for laboratory containment is -- I gave the  
13 web site here. It's hard to find that action plan.

14 And last November, NVPO was asked to coordinate across  
15 the agencies an action plan for laboratory containment.

16 Dr. Walter Dowdle, whom many of you know, is directing  
17 this initiative.

18 He asked me to be sure to say that effective  
19 containment is a realistic goal, but it's not --  
20 absolute containment is not. As consequence, once an  
21 inventory is established and laboratory surveys have

1           been done, which is intended to be completed by the end  
2           of 2002, that at that time, the biosafety level for  
3           containment of samples that may potentially wild type  
4           poliovirus will begin to increase, first to BSL level 3  
5           and then to BSL level 4.

6           Just after the last ACIP meeting in October, we held a  
7           workshop to consider the prevention of perinatal CMV  
8           infection. And there were several things we learned.

9           One, that CMV as a public health problem is much  
10          greater than many -- even the CMV community had  
11          recognized, but that it's not widely recognized as far  
12          as public health importance, being the most common  
13          cause of damage to the developing fetus now that  
14          rubella -- in this country now that rubella vaccine is  
15          available. In looking at disease burden from hearing  
16          loss and progressive hearing loss, in the IOM Report  
17          looking at vaccines for the 21st century, looking at  
18          how the prioritized

19         vaccines -- CMV, perinatal CMV, began the number one --  
20          should be our number one priority. So this is the  
21          reason we held this workshop in October. We looked at

1 a lot of different ways of approaching candidate  
2 vaccines, who the target populations might be for  
3 studying vaccine safety and efficacy. There are a  
4 number of difficulties and complexities of looking at  
5 this particular vaccine.

6 We heard about a number of the different strategies  
7 that were under development and we, at our last NVAC  
8 meeting, spent a -- some time looking at what the next  
9 steps for the interagency vaccine group should be to  
10 try and facilitate the development of such a vaccine.  
11 So, for example, one of the suggestions was that the  
12 Centers for Disease Control should participate in  
13 looking at disease burden. Much of the data that is  
14 available is limited to Alabama, and it's not clear  
15 whether this data is -- would be universal throughout  
16 the country and whether, in fact, we have enough data -  
17 - population-based data to be able to make decisions  
18 and so on.

19 Finally, somebody asked some questions about pandemic  
20 influenza. Because this is a cross-agency and cross-  
21 department activity, the NVPO was asked to coordinate

1 the technical development of a pandemic plan. Within  
2 NVAC, we have a pandemic influenza working group.  
3 Chuck Helms is the liaison member from the ACIP. The  
4 current plan is at the Department under review. And  
5 the structure of the plan is a document that outlines  
6 many of the issues and many of the approaches to  
7 addressing the issues. And then it has a series of 16  
8 technical annexes that are in various stages of  
9 development for how to respond to a pandemic. Many of  
10 your states are in the process of doing a -- developing  
11 model state plans and the funding for that is from the  
12 unmet needs funding I mentioned previously.

13 In your books are three draft annexes that --  
14 particularly the liaison members, we ask you to take  
15 back to your organizations and provide us input of  
16 infection control, selecting alternative sites for  
17 care, and resource -- management of scarce resources.  
18 Annexes are at a point where we would like to have  
19 input on those.

20 The working group had its last meeting in November and  
21 these are some of the -- they looked at the draft that

1 we had under development at that point, and a couple of  
2 the points I think are worth mentioning.

3 The first is, we have a tendency, when we pandemic  
4 plan, to think about the worst-case scenario. So much  
5 of the emphasis and discussions have occurred, by all  
6 of us as we talk about pandemic planning, is to think  
7 about 1918, but, in fact, the working group said we  
8 should -- we should take into account a severe pandemic  
9 like 1918 and we should take into account less severe  
10 pandemics like 1968. But in fact, pandemic planning  
11 should probably be geared for something in between that  
12 and that our model should be more of the 1957 pandemic  
13 response with then looking at the others as extreme  
14 possibilities.

15 The response by -- both locally and at the national  
16 level should be flexible. So one of the things that  
17 happens when people start talking about pandemic  
18 planning very early on is who's going to buy the  
19 vaccine, and when are they going to buy it, and what  
20 about liability and so on, which are very, very complex  
21 issues. And the recommendation of the -- or the

1 discussion that we had with the working group was that  
2 a -- these types of decisions needed to be flexible and  
3 that would be geared towards the type of pandemic and  
4 the severity of a pandemic as it unfolded.

5 Also at a meeting that was held in September, there was  
6 a lot of discussion on the global level about vaccine  
7 and where a vaccine should be available, whether  
8 responsibilities of developing countries or undeveloped  
9 countries on vaccine supply, much less the issues of  
10 vaccine within a developed country, and vaccine in  
11 short supply, some of the issues that we talked about  
12 yesterday. So we tried to model the plan into a  
13 scenario that assumes that there will be little or no  
14 vaccine early in a pandemic response, which means that  
15 the local response planning will be critical for coping  
16 with the level of illness, morbidity and mortality.

17 And then finally, the whole issue of addressing  
18 antiviral agents. Several of you asked me about where  
19 we were in planning for how to use antiviral agents  
20 within a pandemic response. If you think the issues  
21 surrounding vaccine are complex, the issues about two

1 classes of antiviral agents that are delivered by  
2 different routes that have varying availability that  
3 are already licensed and so on, in a coordinated  
4 pandemic response are very, very complex. So as a  
5 consequence, the working group is convening a special  
6 technical panel in a couple of weeks. We're  
7 specifically going to try and develop strategies for  
8 how antiviral agents might be utilized to -- as part of  
9 a more comprehensive pandemic response.

10 Now, finally, at the last NVAC meeting and at the last  
11 ACIP, I mentioned this, so I thought I would follow up  
12 on it. We were going to have a presentation on autism  
13 and vaccines and the studies that are currently  
14 underway, but as it turned out at the time of the last  
15 NVAC last week, there was a Spring Harbor meeting  
16 simultaneously. So all of our speakers were there. So  
17 we will hold that a half-day of our NVAC meeting in  
18 June. We'll include the discussion of current research  
19 activities surrounding autism. We hope we'll have the  
20 report from the Institute of Medicine Safety Committee  
21 that we're going to hear about later this afternoon by



1 September. Our hope originally was to have it in May  
2 or June, but the dates simply were not possible. Then  
3 in October, we moved it to September in order to give  
4 it as much -- as much advance time before the next  
5 meeting of NVAC and the ACIP.

6 Four of the five sessions will be devoted to a review  
7 of the Rotashield experience and the fifth session will  
8 be on the generic issue about the implications of  
9 intussusception association with an orally-administered  
10 vaccine and future development.

11 A second aspect is one that Marty has spoken of before  
12 and is the workshop that was conducted in San Juan last  
13 May on the possible effect of aluminum in vaccines and  
14 adverse effects, and the proceedings of this workshop  
15 will be published in *Vaccines* very shortly.

16 Third, as Marty mentioned, the cytomegalovirus  
17 workshop, and our committee will be developing  
18 recommendations to make to the Secretary for future  
19 development, and I think the most important point is  
20 that the burden of disease of cytomegalovirus is not  
21 appreciated and in order to give the appropriate

1 priority, both in the public and private sector, we  
2 need to make sure that that burden of disease is  
3 appreciated. I think now that we have newborn  
4 screening in many states, routinely I think this burden  
5 will increasingly become appreciated.

6 We continue to follow global immunization initiatives.

7 We have had presentations from a variety of different  
8 organizations, including from groups representing the  
9 Gates Foundation. And last week, we had a presentation  
10 from the Fogarty Center by Dr. Miller on their current  
11 developments, and our hope is that the funding for the  
12 global immunization initiatives by the U.S. Government  
13 continues under the current administration.

14 And for the past two years, we've been revising the  
15 standards on adult immunization in collaboration with  
16 the National Coalition for Adult Immunizations and the  
17 National Immunization Program. These standards have  
18 been tentatively approved by the National Vaccine  
19 Advisory Committee and have been reviewed by the  
20 working group of the ACIP and also approved. The next  
21 step is to circulate these to key partner

1 organizations, which includes the ACOG, the American  
2 College of Physicians, the Academy of Pediatrics, and  
3 the Society for Adolescent Medicine, and most recently,  
4 the Infectious Disease Society of America. Once we  
5 have approval of these different organizations, then we  
6 will seek partner organizations in order to have a  
7 broad consensus to help to implement these standards.  
8 Our plan is to introduce these standards in a  
9 publication in MMWR and possibly in a peer review  
10 publication next January during Adult Immunization  
11 Week.

12 Then in the course of the summer or during the course  
13 of the fall and this winter, we realize that pediatric  
14 immunization standards which were originally issued in  
15 1992 were in need of similar revision, and the National  
16 Immunization Program, under the lead of Gene Santoli  
17 and Lance Rodewald, has revised these standards. They  
18 are now undergoing review by the Committee and  
19 subsequently will be circulated to other organizations.

20 Our hope is to move rapidly on this revision process  
21 and to perhaps have these ready for issuing next

1           October, too, in conjunction with the adult standards.  
2           Mention has been made of the new committee established  
3           by the IOM, a vaccine safety committee. This is a  
4           committee generated by an initiative of the interagency  
5           group on vaccines. The contract for the IOM committee  
6           is with the National Institutes of Health and the  
7           Centers for Disease Control. The role of NVAC will be  
8           as a forum to discuss future issues to suggest to the  
9           interagency group for discussion, as well as to give  
10          prioritization, and we are a public forum in this  
11          respect. And secondly is, we will review the reports  
12          of IOM vaccine safety committee. We will hear more  
13          about this committee from Marie McCormick later this  
14          morning.

15          The Committee has also reviewed the IOM report which  
16          was actually originally published, I believe -- not  
17          published, but originally issued over a year ago  
18          entitled "Vaccines for the 21st Century: A Tool for  
19          Decision-Making." We had a draft report and the NVAC  
20          has been able to review it. Of course, our review is  
21          now on the NVPO site but up until tomorrow. The final

1 edition of the report has not been publicly available.

2 We're pleased to announce that the IOM will be  
3 publishing and making available to the public this  
4 report tomorrow. This report is an interesting one.  
5 It has a model for developing -- for establishing  
6 priorities for vaccine development with a complex  
7 formula. The idea is not to establish the priorities  
8 but rather suggest a mechanism by which the U.S.  
9 Government can consider priorities. And as mentioned  
10 earlier, in that analysis of the 21 examples that were  
11 analyzed, leading in the category was cytomegalovirus  
12 vaccine.

13 Finally, we have three new work groups that have been  
14 established. One is on the introduction of new  
15 vaccines, originally intended to address the issue of  
16 financing, which was a major problem last year for the  
17 introduction of Prevnar for the private sector, in  
18 particular. And when we began to address the issue, we  
19 realized that the introduction of new vaccines was a  
20 much broader topic. So financing is only one of  
21 several issues we will be considering.

1 A second issue that has -- we've been asked to address  
2 by the Association of State and Territorial Health  
3 Officers concerns not which vaccines should be mandated  
4 but rather guidelines that states may use in  
5 establishing mandates for recommended vaccines. And  
6 this work group will be open to suggestions for topics  
7 that could be discussed in a public meeting at some  
8 point as planned.

9 Third and mentioned earlier was that we will have a  
10 work group on strengthening the supply of vaccines and  
11 we already have an ACIP representative. This group  
12 will be hopefully holding a conference call in the very  
13 near future and begin its actions, because we realize  
14 the need to begin to make some progress on this issue  
15 which is hardly a new one. But the initial charge the  
16 Committee is to identify the vulnerabilities in the  
17 current supply as well as to identify the challenges.  
18 Then perhaps the next step is to formulate some  
19 recommendations, but that is not our initial stage of  
20 development.

21 Our next meeting is June 4th, 5th, and 6th. The first

1 day will be a meeting of the subcommittee on vaccine  
2 coverage and the second and third day, June 5th and  
3 6th, will be for the plenary sessions, together with  
4 other subcommittee meetings.

5 I'd be glad to answer questions for the National  
6 Vaccine Advisory Committee. I would make one point,  
7 since we have such a plethora of committees that advise  
8 the Government, the role of NVAC is to advise the  
9 Assistant Secretary on programmatic issues. And of  
10 course, this committee deals more with technical  
11 issues, but I think the collaboration between the  
12 different committees is very important. As a result,  
13 we have on NVAC an ACIP representative, which is John  
14 Modlin. We now have a VRPAC representative as well,  
15 Bob Daum, who is the chair-to-be. We also have a  
16 representative from the Advisory Commission on  
17 Childhood Vaccines, which is Jackie [inaudible], a  
18 citizen member and well-known to many of us as the  
19 Academy representative in Washington.

20 So I think I'd be glad to answer questions, formally or  
21 informally, and I might say that, indeed, I told Larry

1 Pickering yesterday that I would never use PowerPoint.  
2 I was simply technologically incapable. In other  
3 words, I'm an adult with special needs.

4 (LAUGHTER)

5 **DR. PETER:** Well, today I am using this, and I think  
6 it's wonderful. Thank you.

7 (LAUGHTER)

8 **DR. MODLIN:** Happy to see you've mastered it. Jon?

9 **DR. ABRAMSON:** Yeah. Jon Abramson.

10 Georges or Walt, perhaps you can help us  
11 with -- The Brighton Collaboration is an international  
12 collaboration that seems to be trying to do a lot of  
13 the same things that the IOM group is trying to do. So  
14 I'm wondering what -- They're trying to set up criteria  
15 for which to determine whether something should, you  
16 know, be purported versus should be studied, et cetera.  
17 What is going to be the collaboration, if any, between  
18 these two groups?

19 **DR. PETER:** Well, Jon, I don't think we've discussed  
20 this. I think may -- Bob Chen, I think, has been  
21 involved with the Brighton Collaboration, or if anybody

1 else would like to comment. I think that's an  
2 important point that I have not previously considered,  
3 but if anyone has any further comments. Yes?

4 **UNIDENTIFIED SPEAKER:** Katherine [inaudible]. I'm one  
5 of the two coordinators of the Brighton Collaboration.

6 And actually, I don't think there's a contradiction in  
7 these two activities right now. What we aim to do is  
8 to come with a standardized set of case definitions for  
9 adverse events following immunizations. That is a  
10 primary goal right now simply to enable comparability  
11 of vaccine safety data from clinical trials as well as  
12 post-marketing surveillance. So I wouldn't see where  
13 they would conflict with what you just presented.

14 **DR. MODLIN:** Thank you. Further comments or questions  
15 for Georges?

16 (NO RESPONSE)

17 **DR. MODLIN:** Georges, thanks an awful lot.

18 The last report will be from the National Center for  
19 Infectious Disease, Dr. Alison Mawle.

20 While Alison is setting up, I wanted to make a quick  
21 announcement. I think, as many of you are aware,

1 weather is creating some travel havoc up and down the  
2 east coast and it's likely that many of us travelling  
3 in that direction, particularly to the mid-Atlanta  
4 states, you're going to be delayed. So those of you  
5 who are travelling on a government GTO, please see  
6 Gloria or Latarsha if you feel like you need to be  
7 changing plans in terms of making contingency plans or  
8 change in travel plans, which will be critically  
9 important. You can do that at the break. Certainly,  
10 Gloria and Latarsha are well aware of this.

11 **UNIDENTIFIED SPEAKER:** Do you want to elaborate on  
12 that, John?

13 **DR. MODLIN:** There's a snowstorm.

14 **DR. MAWLE:** Okay. I think we're up here.

15 I just wanted to update the Committee on unique  
16 exposure that occurred last fall to recombinant rabies  
17 virus vaccines. Just to give you a background on this,  
18 I think people are aware that all the vaccination of  
19 wildlife has been used as an adjunct to the traditional  
20 public health methods in controlling rabies, such as  
21 immunizing pets. And this was begun originally in 1990

1 primarily to control the spread in raccoon rabies in  
2 the U.S. To date, over 15 million baits have been  
3 distributed.

4 Now, the oral vaccine is a vaccinia construct which was  
5 originally derived from the Copenhagen strain of  
6 vaccinia, and it contains the glycoprotein from the eRA  
7 strain of rabies, which is a canine strain.

8 Now, in Ohio, raccoon rabies was originally detected, I  
9 think it was in 1996, and they started the bait  
10 distribution, oral vaccination of the population, the  
11 wildlife population, in 1997. They do it twice a year.

12 They do it in the spring and they do it in the fall,  
13 and they've had very good success in controlling  
14 raccoon rabies and that has declined significantly and  
15 it is apparently virtually undetectable right now.

16 Last fall a woman was bitten on the arm when she tried  
17 to remove one of the baits from her dog's mouth. She  
18 treated the obvious bite, but apparently, there were a  
19 couple of superficial scratches that were so minor that  
20 she didn't even really rinse them. Ten days later, she  
21 developed an inflammatory reaction around those

1 superficial lesions and was eventually treated with  
2 antibiotics and wound debridement. It was not  
3 immediately obvious what the problem was and,  
4 initially, she was thought just to have an infection of  
5 the dog bite. It was only later when things did not  
6 resolve that the connections were made with the actual  
7 bait and it was diagnosed potentially as vaccinia  
8 exposure.

9 The wound material was sent to our rabies lab at CDC  
10 and the material was cultured on viro cells, gave a  
11 classic cytopathic effect, and an EM showed classic  
12 poxvirus. The virus was sequenced by PCR and both  
13 vaccinia virus sequences and the rabies glycoprotein  
14 sequence were detected. They sequenced the actual  
15 rabies PCR product and it had 100 homology with the eRA  
16 glycoprotein. They inoculated mice with the material  
17 and there was -- the mice were fine.

18 The patient herself had convalescent serum taken which  
19 contained neutralizing antibodies to the rabies virus.  
20 These are the folks who were involved from both CDC and  
21 in Ohio at the hospital and the State Health

1 Department. And I just want to point out the rabies is  
2 very well controlled within the U.S. There was a MMWR  
3 published in December that dictated -- described five  
4 cases of death in the U.S., which were the first rabies  
5 cases since 1998. Four of those five were bat  
6 exposures and one was to a dog in Africa, and the vast  
7 majority of rabies right now in the U.S. is, in fact,  
8 either due to bat exposure or to dogs in other  
9 countries. The control program here has been very  
10 successful and the vaccinia bait has significantly  
11 contributed to that.

12 I do want to point out that this is very widely  
13 publicized when Ohio does this. They've put out press  
14 releases. They notify the emergency rooms. People are  
15 very well aware that these things happen. And to our  
16 knowledge, this is the first time that it's been  
17 documented that a human has been exposed and infected  
18 by the vaccine.

19 Thank you.

20 **DR. MODLIN:** Thanks, Alison. Questions for Dr. Mawle?  
21 Paul?

1           **DR. OFFIT:** Alison, just a quick question. What is the  
2           bait?  
3           **DR. MAWLE:** What is the bait? I believe it's chicken  
4           necks.  
5           **DR. OFFIT:** Chicken necks.  
6           **DR. MAWLE:** Yes. And it's laced with this vaccinia  
7           rabies --  
8           **DR. OFFIT:** And the woman that was trying to pull the  
9           chicken neck away from her dog, did she know that that  
10          was soaked with this --  
11          **DR. MAWLE:** No, no. She had no idea. In fact,  
12          apparently, they also had some reason to think that  
13          somebody had been trying to poison their dogs.  
14          **DR. OFFIT:** Was there any local -- But when you  
15          distribute these chicken necks, do you -- do you inform  
16          people locally that these -- this is what you're doing?  
17          **DR. MAWLE:** Well, as I understand it, most of this is  
18          done in rural areas. But, yes, it's in the press. I  
19          mean, you don't go to door to door, but, yes, it's  
20          widely -- widely advertised, yes. It was eventually  
21          this sort of sequence that alerted the ER doc to the

1 fact that, obviously, this is what it was likely to be.

2 **DR. MODLIN:** Stan?

3 **DR. PLOTKIN:** I think it should be mentioned that this  
4 kind of bait -- Actually, there are two different oral  
5 vaccines -- are widely used in Europe. I don't  
6 remember the number of doses, the number of baits that  
7 have been used, but really thousands and thousands.  
8 And again, the safety record has really been excellent  
9 as far as human exposure.

10 **DR. MAWLE:** Yes. 15 million, about, have been used so  
11 far. This is not a common occurrence.

12 **DR. MODLIN:** But the vector is considered a non-highly-  
13 attenuated vector; is that correct? I assume that  
14 that's the case.

15 **DR. MAWLE:** It's pretty highly attenuated, yes.

16 **DR. MODLIN:** Highly attenuated.

17 **DR. MAWLE:** Yes.

18 **DR. MODLIN:** Okay.

19 **UNIDENTIFIED SPEAKER:** But not enough --

20 **DR. MODLIN:** Pardon?

21 **UNIDENTIFIED SPEAKER:** Not enough.

1 DR. MODLIN: But not enough to the point that it didn't  
2 cause a wound infection?  
3 DR. MAWLE: Right. Which is still --  
4 DR. MODLIN: Getting back to our --  
5 DR. MAWLE: It can still replicate --  
6 DR. MODLIN: -- statement yesterday.  
7 DR. MAWLE: But it is attenuated.  
8 DR. MODLIN: Okay, thanks. Any other questions for Dr.  
9 Mawle? Marty?  
10 DR. MYERS: How did the dog do?  
11 (LAUGHTER)  
12 DR. MODLIN: Do you know?  
13 DR. MAWLE: I don't know. Presumably, immune to  
14 rabies.  
15 (LAUGHTER)  
16 DR. MODLIN: Dr. Ruprecht?  
17 DR. RUPRECHT: One follow-up. The patient had  
18 dermalitic hyperkeratosis, which is a complicating  
19 factor.  
20 DR. MODLIN: Had eczema, or eczema-like cutaneous  
21 disease. Interesting.

1 Okay. We're a few minutes behind. Let's plan on  
2 returning from the break at 10:20, if we could.

3 (RECESS FROM 9:56 A.M. TO 10:24 A.M.)

4 **DR. MODLIN:** Could I ask people to please be seated so  
5 we can started? Could I ask people to be please be  
6 seated so we can continue?

7 The next item on the agenda will be a review of the  
8 General Recommendations Statement. Unfortunately, Lucy  
9 Tompkins needed to leave earlier, although Lucy has  
10 been chairing the General Recommendations Work Group.  
11 Bill Atkinson, who has been centrally involved in this  
12 process now for sometime, is going to lead us through  
13 the most recent changes in the General Rec Statement.  
14 This is a process that is fairly mature, and what Bill  
15 is going to do is focus on those important changes that  
16 have been made since the last meeting or since the last  
17 time that the ACIP has had a chance to review the  
18 progress of this group. I hope very much that if we  
19 cannot complete our work on this at this meeting, and I  
20 think there's a reasonable chance that we will not be  
21 able to, the plan will be to ask the Committee to

1 review a final draft and make a final vote on this at  
2 the June meeting.

3 Bill?

4 **DR. ATKINSON:** "Mature" is the proper word. This is,  
5 to my recollection, the eighth time that the General  
6 Recommendations have been discussed in this forum.  
7 At best today, I think I will be able to tell you  
8 what's in the document. I would like to put a couple  
9 of issues out and see if there's any consensus, or at  
10 least opinion, on the part of the Committee/liaisons.  
11 I would also like to run through the new parts and  
12 explain very briefly why they're there. Then I agree,  
13 I think that probably -- this is an onerous document, I  
14 would admit. I would encourage, however, that all of  
15 you should at least read it through completely one time  
16 and I'd like to get comments from everybody. I think  
17 we can kill this -- We can finish this next meeting.  
18 The three things that -- I would just like to go  
19 briefly through the three components. This is the  
20 first time you-all have seen a complete -- a complete  
21 sort of collection of all the parts that we've talking

1 about. There are copies in the back of this large  
2 document that I will point you to.

3 The three pieces that have been incorporated into this  
4 that have already been discussed and agreed upon by the  
5 Committee are -- that have been discussed at three  
6 different meetings are the minimal intervals, ages, and  
7 grace period issue; the vaccination of internationally-  
8 adopted children, which we spent a lot of time on last  
9 time, which there's a great deal -- there were some  
10 more work group meetings and more wording on that. So  
11 I would encourage you to read that section and make  
12 sure everybody agrees with what kind of came out of the  
13 machine. And then the issue of nonsimultaneous  
14 administration of live vaccines.

15 On these three issues, there's one new thing that I  
16 would like at least judge feelings on. A footnote was  
17 included on page 7, at the bottom of page 7 in the  
18 draft that you have. That footnote was meant to sort  
19 of acknowledge the fact that there are state  
20 regulations and requirements for school that may be  
21 difficult to reconcile with the grace period, the four-

1 day grace period. That footnote in its current  
2 iteration says that "In some situations, local or state  
3 requirements may mandate doses of certain vaccines be  
4 administered on or after certain ages. For example,  
5 many school entry requirements may not accept a dose of  
6 MMR or varicella vaccine given prior to the first  
7 birthday." You recall that the four-day grace period  
8 applies to all antigens, all ages, no intervals.

9 Therefore, by these recommendations, that dose at given  
10 at 361 days, four days before the first birthday, would  
11 be considered acceptable. Shall I say, would not be  
12 recommended to be repeated.

13 It goes on to say "While health care providers must  
14 comply with existing state and local regulations, ACIP  
15 hopes that individual states and local areas will  
16 consider the new ACIP four-day decision rule and 'grace  
17 period' recommendation in reviewing and evaluating  
18 their state and local vaccination requirements." This  
19 was not originally part of the discussion and I wanted  
20 to make sure that everyone was aware that this footnote  
21 existed and do anything to do, for it, or against it

1 that anyone would like to suggest, including drop it  
2 entirely.

3 **DR. MODLIN:** Natalie?

4 **DR. SMITH:** Yeah. I just want to comment on this  
5 footnote. The first part is that "ACIP hopes." We're  
6 used to translating language from ACIP about  
7 "considers" and "recommends." "ACIP hopes" is sort of  
8 a new level --

9 (LAUGHTER)

10 **DR. SMITH:** -- for the states to interpret. More  
11 seriously, it takes many years to get state laws and  
12 regulations in place for many of us. I can think of  
13 one antigen that took us about three and a half years  
14 to get the state law in place. It's a very arduous  
15 process to go back and change laws and regulations.  
16 So I would be more comfortable if we just dropped the  
17 last sentence. I think it is clear -- States know that  
18 these grace periods are going into effect. It was a  
19 major topic at a program managers' meeting last week.  
20 So I would be more comfortable with the footnote if we  
21 drop that sentence and didn't -- didn't have this as a

1 reason that we had to revisit our state laws,  
2 especially around the MMR requirement at age 12 months.

3 And I think the Association of Immunization Managers  
4 is also here and may have some comments.

5 **DR. MODLIN:** Well, I think -- Sort of in the interest  
6 of taking ambiguity to a new level, which is what we --  
7 this committee has been very good at. I guess the real  
8 question, though, is here, Natalie, is that if we  
9 dropped the last sentence, is there any reason to have  
10 the footnote at all? I would be curious at what other  
11 people think about that. Peggy, you're shaking your  
12 head. How do other members of the Committee feel about  
13 this? Rich?

14 **DR. CLOVER:** I think it's relevant to have some  
15 footnote just acknowledging the fact that this  
16 recommendation may cause a problem for a practitioner  
17 as it relates to state law requirements, and I think  
18 just a statement that just acknowledges that as an  
19 issue would be a benefit.

20 **DR. MODLIN:** But it is going to create conflict between  
21 -- clearly between what we hope will be a standard, a

1 national standard, and differences between -- for some  
2 states, for not all states. So that, in some respects,  
3 it's going to actually create difficulty where we had  
4 hoped to achieve some unanimity. Is that fair?

5 **UNIDENTIFIED SPEAKER:** Uh-huh (affirmative).

6 **DR. MODLIN:** Yes?

7 **DR. GREEN:** Jessie Green, South Carolina.

8 I think the intent of the grace period will be  
9 implemented regardless of whether you include the  
10 footnote. If you do include the footnote, I would  
11 suggest that you do remove the last sentence. I think  
12 it could be problematic.

13 **UNIDENTIFIED SPEAKER:** How is that? Why would it be a  
14 problem?

15 **DR. GREEN:** Well, I think it makes no difference in  
16 whether or not immunization regulations are affected by  
17 the grace period. That will be implemented in  
18 smoothing out the bumps in the road. Perhaps a  
19 politician could read this because of the strength of  
20 an ACIP statement and want to build a new highway.

21 **UNIDENTIFIED SPEAKER:** I'm lost.

1       **DR. MODLIN:** Thank you. Dr. Brunell?

2       **DR. BRUNELL:** I must say, the first time I saw this, I  
3 was very much opposed to even the four-day grace  
4 period, and this was based on my experience with  
5 measles immunization at the time I was chairman of the  
6 Red Book Committee and probably on this committee. And  
7 what happened was that we just had a whole bunch of  
8 calls about 364 days, what's wrong with 364? And now  
9 you're going to have questions -- calls about 360, 359.

10       I think you're just complicating your life by even  
11 making this initial change, and to make it more vague  
12 is just going to increase the complexity, the  
13 confusion, and the phone calls.

14       **DR. MODLIN:** We have flip-flopped on this issue and I  
15 think it may be helpful to have a little bit of  
16 perspective. The whole reason for having the four-day  
17 grace period for MMR was to make it consistent with the  
18 four-day grace period that we have granted for all the  
19 other antigens. So it was an attempt to simplify the  
20 system in that respect rather than to complicate it  
21 when we were comparing it to DTP and hib and all the

1 other vaccines that we use.

2 I hope -- Well, Rick?

3 **DR. ZIMMERMAN:** We continue to support the four-day  
4 grace period.

5 **DR. MODLIN:** Okay. Other comments? Other than Dr.  
6 Brunell, are there people that feel strongly that we  
7 should not have a four-day -- or feel that we shouldn't  
8 have a four-day grace period for MMR? Dr. Johnson?

9 **DR. JOHNSON:** I was under the impression in our past  
10 discussions that we clearly had considered a four-day  
11 grace period or some sort of grace period for other  
12 vaccines but that we were dropping that notion for MMR  
13 because of -- well, for those very reasons that we have  
14 up there, that in many jurisdictions, the law is tied  
15 to the first birthday. I'm a little uncomfortable with  
16 that language in the last sentence there that's  
17 suggesting that ACIP hopes there will be some changes  
18 in state law or application of state law or  
19 regulations.

20 **DR. MODLIN:** Well, as I had mentioned, we have flip-  
21 flopped on that issue. And I think you probably

1 weren't at the last meeting where we flopped. In fact,  
2 we did make the decision to include the four-day grace  
3 period for all vaccines, including MMR, and it may be  
4 that -- Let me ask Walt or Melinda, or both, what would  
5 be best for the program here. I don't want to get  
6 bogged down in debating.

7 **DR. ORENSTEIN:** Clearly, we support the four-day grace  
8 period. I think the Committee has supported it.  
9 Whether the footnote is needed or not, I'm not sure. I  
10 think the big issue, as Rich said, is does there need  
11 to support that, in fact, you may not do it. I think  
12 that perhaps taking out the last sentence might just do  
13 that.

14 **DR. ATKINSON:** The last sentence or the last phrase?

15 **DR. ORENSTEIN:** The last sentence.

16 **DR. ATKINSON:** To include -- So the entire last  
17 sentence, "While health care providers must comply,"  
18 that entire sentence?

19 **DR. ORENSTEIN:** Right.

20 **DR. WHARTON:** Yeah.

21 **DR. ATKINSON:** Okay. No problem.

1 DR. MODLIN: Is that a reasonable compromise for  
2 everyone? Bill, I hope we don't see this in June.

3 DR. ATKINSON: No. That's -- Hopefully, that's the  
4 last of it.

5 DR. MODLIN: Okay.

6 DR. ATKINSON: One thing I would like to get a quick  
7 opinion on, in the 1994 General Recommendations, this  
8 was -- this is a comment by one of the reviewers, there  
9 was a whole -- two pages of definitions, essentially a  
10 glossary. I, personally, don't think it was  
11 particularly useful. I left it out. Some reviewer  
12 suggested it be put back in. Does anyone have any  
13 strong opinions one way or the other, whether there  
14 should be a glossary of terms or not? You can just --  
15 Maybe you can just tell me this on comments or not. I  
16 don't -- I don't think it's necessary, but I will defer  
17 to you-all if you do.

18 DR. MODLIN: Jon?

19 DR. ABRAMSON: Yeah, I think it is necessary, because I  
20 think, for instance, that people get confused between  
21 intravenous immunoglobulin and immunoglobulin. That's

1 just one example of where people are calling --  
2 physicians are calling and asking us at times what do  
3 we mean. So I do think that's it helpful.

4 **DR. MODLIN:** Walt?

5 **DR. ORENSTEIN:** I've cited it in terms of definitions  
6 of vaccines and whatever certainly in talks.

7 **DR. ATKINSON:** Done.

8 (LAUGHTER)

9 **DR. ATKINSON:** This is a list. It's also on the cover  
10 of your -- cover of your document. Just to point out  
11 things, and you can go through this list, there's only  
12 two or three things that I would like to throw out.  
13 There's only one that actually needs, I think,  
14 substantial decision here.

15 The introduction, Chen Le contributed greatly to the  
16 rewritten introduction which I think is a nice change.

17 You should just pay attention to these because these  
18 are things that are real new that you need to pay  
19 attention to.

20 Options for reducing the number of injections at the  
21 12-to-15-month visit was something we just kind of

1 kicked around and is just a proposal. Again, you  
2 should look at this and see if this is consistent with  
3 what you would believe.

4 Two issues that I think don't need to be discussed at  
5 any great length: wording concerning aspiration prior  
6 to vaccine administration. There have been -- We have  
7 been doing some polls and informal sort of polling of  
8 individuals about whether or not the issue of  
9 aspirating prior to giving an injection or not. There  
10 clearly is no agreement whether it is. It's pretty  
11 much split 50/50. It's integrated and ingrained into  
12 nursing practice, and we're finding the wrath of God  
13 when we even suggest trying to take it out. I'm  
14 suggesting, based on some wise commentary from Dr.  
15 Peter, that we, in fact, change -- In fact, I've  
16 already changed this wording in the General  
17 Recommendations prior to the -- after the draft you  
18 have. The document currently says -- it basically says  
19 in 1994, like it always has, it basically -- it says de  
20 facto to aspirate. That's the way it had always been  
21 in all the General Recommendations. The Red Book,

1           however, says  
2   that -- has this little caveat that says, "Although most  
3       experts recommend aspiration by gently pulling back,"  
4       blaa, blaa, blaa, "there are no data to document the  
5       necessity for this procedure." That may well be  
6       enough, and just in the spirit of harmony with the Red  
7       Book, we may want to basically incorporate wording like  
8       this, admitting there are no data really to say one way  
9       or another whether it's required or not. Nurses will  
10      swear on it. Other people say that there's no data,  
11      let's scrap it. I don't think that there's any way to  
12      resolve this unless somebody has strong opinions on the  
13      Committee. I would suggest we go with Red Book wording  
14      and just admit that some people recommend it and  
15      there's data to support it unless you have other  
16      thoughts, just not to create anymore conflicts with the  
17      Red Book that already are there.

18      **DR. MODLIN:** Bill, I think you're getting general  
19      agreement that --

20      **DR. ATKINSON:** Did that seem like general agreement?

21      **DR. MODLIN:** Sure.

1 DR. ATKINSON: Okay, yes.

2 DR. MODLIN: Except for Dr. Zimmerman.

3 DR. ZIMMERMAN: Actually, I'm in general agreement on  
4 that one. I was hoping we could go back to the second  
5 topic on your list and discuss that, the issue of --

6 DR. MODLIN: Why don't we finish with this, Rick, if  
7 it's okay, discussing aspiration, or actually, for  
8 those of us who participate in the vaccine listserve,  
9 there's been a considerable amount of dialogue on this  
10 issue and different viewpoints, which pretty much  
11 reflects Bill's statement that there are two sides to  
12 this issue and they both feel quite strongly about it.  
13 Dave?

14 DR. JOHNSON: One other difference between the Red Book  
15 and the current document is in picking a new site, in  
16 the current document we talked about tossing out that  
17 syringe and vaccine dose. The Red Book purposely does  
18 not suggest doing that if blood is aspirated into the  
19 syringe.

20 DR. MODLIN: John?

21 DR. PICKERING: (Inaudible)

1 DR. JOHNSON: Oh, it does. It doesn't seem to up  
2 there.

3 DR. MODLIN: What is the Red Book policy on this,  
4 Larry?

5 DR. PICKERING: The last sentence -- Larry Pickering.  
6 The last sentence after that says, "If blood appears  
7 after negative pressure, the needle should withdrawn  
8 and a new site selected."

9 DR. JOHNSON: A new site selected. You would use that  
10 same syringe --

11 DR. PICKERING: Right.

12 DR. JOHNSON: -- and that same dose of vaccine and  
13 select a new site?

14 DR. ABRAMSON: I mean, it would be hard for me to  
15 imagine why not to use the same vaccine. I guess you  
16 could make some case to changing the syringe.

17 DR. MODLIN: We need to be explicitly advised in that  
18 respect, say that you may use the same --

19 DR. ABRAMSON: I like the way ours is.

20 DR. MODLIN: Okay, all right.

21 DR. ATKINSON: The current wording is basically that

1 which has been carried down through the General  
2 Recommendations. So that's basically the same wording  
3 that was in 1994 and 1989.

4 **DR. MODLIN:** Bruce?

5 **DR. WENINGER:** Yes. Would you clarify whether you're  
6 going to still require the dose be thrown away with  
7 Plevnar and over 50 dollars and varicella not far  
8 behind? Is there any evidence for that old  
9 recommendation?

10 **DR. ATKINSON:** Not to my knowledge. It's one of those  
11 things that's just been in the document. I don't know  
12 where it started or why. It's just been there all  
13 along. I just -- You know, I just copied it.

14 **DR. MODLIN:** Again, I would raise the issue again. Do  
15 we explicitly state for that reason that the dose does  
16 not need to be discarded?

17 **DR. ATKINSON:** I can easily strike that. I'm flexible.  
18 So consider that phrase to be out. Is that what I  
19 hear? So not say anything about discarding the dose  
20 and make it more consistent --

21 **DR. MODLIN:** I got the sense that people felt that the

1 language that Georges had suggested or adopting  
2 something similar to the Red Book would be the most  
3 acceptable. Is that --

4 **DR. ATKINSON:** I like it.

5 **DR. MODLIN:** Did you have a question about this,  
6 Bonnie?

7 **DR. WORD:** I mean, personally, I like the language, but  
8 I think the reality of it is, most of the nurses are  
9 the ones administering. And as you said, that is  
10 standard teaching in -- for nurses. And if someone  
11 decides to say, what are you doing, why are you doing  
12 that, then you'll start that level of disagreement  
13 there. The nurse is the one that's doing the  
14 administering. And until we change their teaching,  
15 it'll go against everything they're taught. It just  
16 avoids another level of confusion.

17 **DR. MODLIN:** Bonnie, are you suggesting that we should  
18 advocate aspiration in the General Recs?

19 **DR. WORD:** Probably just to have left it.

20 **DR. MODLIN:** But you would prefer to leave the language  
21 as it is.

1 DR. WORD: I just thought he just changed the last  
2 part, you know, discard it.

3 UNIDENTIFIED SPEAKER: We're going with the Red Book.

4 DR. MODLIN: I think the consensus is to go with the  
5 Red Book language.

6 DR. WORD: Okay.

7 DR. MODLIN: Are you comfortable with that?

8 DR. WORD: Yeah.

9 DR. MODLIN: Rick, did you want to go back

10 to --

11 DR. ZIMMERMAN: Page 9.

12 DR. MODLIN: Okay.

13 DR. ZIMMERMAN: It's the recommendations -- Rick  
14 Zimmerman.

15 It's the recommendations for what to do at the 12-to-  
16 15-month with the number of injections. And I would  
17 like to propose a slightly different tact or strategy  
18 and that would be to list the principles, and I would  
19 suggest two principles. At the 12-to-15-month visit,  
20 if the parent says no to the number of injections, then  
21 I think the first priority are to give those vaccines

1           which they have not had any doses before, measles and  
2           varicella as examples.  And secondly, to look at the  
3           risk of what they might be exposed.  Probably pertussis  
4           is more of an issue than, for instance, polio is in  
5           this country.  But I prefer the principles because I  
6           think the specific strategies get into detail that we  
7           can, I think, debate -- We could spend a lot of time  
8           debating about which vaccine might, in a particular  
9           circumstance, be better or not better, and with  
10          combination vaccines, this is really going to become an  
11          ever-changing issue.  So I would suggest that instead  
12          of specifics to go to principles.  And particularly,  
13          for instance, one of the specifics listed is hepatitis  
14          B vaccine.  We know immunogenicity is higher until the  
15          third dose is given later.  So I'm not sure in a low-  
16          risk setting we have to give at that visit by 12 months  
17          of age the hepatitis B third dose.  It works as well if  
18          you give it a couple of months later.  I think we can  
19          get caught up in the minutia, and I would rather see us  
20          have a tact of principles.  That's a little different  
21          strategy than the one that's listed.

1       **DR. MODLIN:** Okay. Walt, do you or Melinda have a  
2 response?

3       **DR. ORENSTEIN:** I think I'm okay with that. I have to  
4 think through some of the issues. I think certainly  
5 the issue of -- the first one of giving vaccines that  
6 they ever had would be very appropriate. I think there  
7 are concerns of finalizing and completing the series  
8 and losing people to drop-out, but I think it -- I  
9 think it sounds reasonable.

10       **DR. MODLIN:** Okay. Dean?

11       **MR. MASON:** Dr. Modlin, just a quick comment for this -  
12 - the complexity of issue of withdrawing the needle and  
13 considering throwing away the contents as well. You  
14 also have to factor in that some of our products are  
15 pre-packaged. So if you cannot reinsert that needle  
16 with that product, you've got to throw the whole baby  
17 away.

18       **DR. MODLIN:** Good point. Deb Wexler?

19       **DR. WEXLER:** Deborah Wexler, Immunization Action  
20 Coalition.

21       I have one more comment. I'm not on either side of the

1 aspiration issue, but not only is it standard ingrained  
2 nursing practice to aspirate, the standard ingrained  
3 nursing practice to throw away the syringe full of the  
4 vaccine, which is -- you're going to -- it's going to  
5 create a lot of friction with nursing -- the nurse  
6 population if you just say that without studying it,  
7 because that is -- it's my understanding that is not  
8 how they're trained. They're trained to throw that  
9 away and aspirate.

10 **DR. MODLIN:** I think this is something that we clearly  
11 can address with the program. There is a -- I  
12 understand now a national organization of nurse  
13 immunization practitioners, and I'm embarrassed to say  
14 I don't know the -- remember the exact name. There may  
15 be a representative from the organization here.

16 **MS. VONTA:** Lynn Vonta from Immunization National  
17 Coalition. I'm on the steering committee of this new  
18 organization. It's called the National Network of  
19 Immunization Nurses and Associates. And basically,  
20 it's a collection of nurses who work very, very  
21 specifically in the field of immunization and

1 consulting. And this, too, has been a subject that has  
2 been bantered back and forth in this organization.

3 **DR. MODLIN:** I would guess as such they would be just  
4 as interested in education and maintaining  
5 scientifically-appropriate practices and updating their  
6 own practices as necessary, and it may very well be  
7 that we could work with this group rather than just  
8 simply accepting the fact that something that we've  
9 been doing for however long is necessarily the right  
10 thing to do just for that reason alone.

11 **MS. VONTA:** As Chair of the Nursing Practice Committee  
12 of that, we would be very, very interested in --

13 **DR. MODLIN:** Maybe this is the opportunity to do that.  
14 Okay, Bill, let's move on.

15 **DR. ATKINSON:** The next one is the only one I  
16 anticipated any substantial discussion.

17 (LAUGHTER)

18 **DR. ATKINSON:** Obviously, after eight times I haven't  
19 figured this out yet. That has to do with more  
20 minutia, sorry. The General Recommendations is  
21 minutia. I don't know if you realize that or not. It

1 has to do with vaccines given by an incorrect route or  
2 site. The 1994 wording, for reasons that I can't  
3 recall, perhaps Dr. Katz can or someone else with a  
4 better memory, says essentially that if you give a  
5 vaccine by the wrong site or wrong route, it should be  
6 discard, period. No exceptions. If it's given by the  
7 wrong route or wrong site, it should not be counted and  
8 it should be revaccinated unless serologic testing is  
9 done. This is later, of course, to a lot of repeats of  
10 a lot of MMR vaccine given IM or perceived to have  
11 given IM. It leads to a lot of repeating vaccines that  
12 probably don't necessarily need to be repeated.

13 In an attempt to try to get at this, we rewrote the  
14 first part to try to get at the data that actually was  
15 there, which is not much. So, again, we're dealing  
16 with kind of thin data. Essentially what exists is  
17 that there is evidence that varicella vaccine given IM  
18 is equally immunogenic as varicella vaccine given  
19 subcu. We also know that hepatitis B given  
20 interdermally is not immunogenic. We know that  
21 hepatitis B given in the gluteus is not as immunogenic.

1       Beyond that, we also know that anecdoctally, they use  
2       probably intramuscular deep subcu vaccination for MMR  
3       in Europe. We also know that DTaP trials are often --  
4       DTaP is often given deep subcutaneous, whatever that  
5       means, in Europe. And actually, Melinda pointed out to  
6       me that even some of the trials gave DTaP in the  
7       gluteus with adequate, apparently, responses.  
8       So perhaps the blank statement isn't as valid as it  
9       should be and we're giving doses over that we don't  
10      need to because it was given too deep. So to try to  
11      get at this, we basically admitted that some -- that  
12      probably giving vaccines IM that were intended or  
13      recommended to be given subcu does not affect their  
14      immunogenicity given the fact that there isn't  
15      apparently data specifically on MMR given IM, except  
16      anecdoctally, unless somebody knows about it.  
17      Apparently, the one study that did say this was an  
18      error. I'm informed by Dr. Naylen [phonetic] at Merck  
19      and, in fact, there are little, few if any, and  
20      apparently, Merck doesn't even have internal data on IM  
21      MMR.

1 So, basically, kind of a stretch was to try to reduce  
2 the number of doses having to be repeated because of  
3 that reason. We basically admitted that probably subcu  
4 vaccines given IM would have no effect based on the  
5 varicella data and did not have to be repeated -- So we  
6 sort of took a little bit of it -- but that other  
7 vaccines given by an inappropriate route should be. So  
8 we retained that part of the original 1994 wording.  
9 Reviewers suggested that that may not be, in fact,  
10 reality either and that this isn't, in fact, what is  
11 being recommended. So I leave it with three options  
12 about how to deal with this issue for which there are  
13 very data.

14 Number one, we can leave the wording as it is,  
15 admitting that IM vaccination -- yeah, administration  
16 of a subcu vaccine probably has little or no effect on  
17 immunogenicity based on varicella data. We probably --  
18 and then leave the wording -- and then repeat doses of  
19 other vaccines given by the wrong route. We can  
20 basically

21 accept -- as apparently is done in some cases, just accept

1 any route or site as valid and throw out all the 1994  
2 wording, or the third option is to accept everything  
3 with the exception of the antigens for which there are  
4 actually data to indicate that seroconversion is not  
5 adequate, which essentially is hepatitis B given  
6 intradermal or in the gluteus or gluteal administration  
7 of rabies vaccine. As far as I know, and we've got a  
8 lot of collected knowledge here, there may be more than  
9 that.

10 So I don't know if you want to give me any guidance on  
11 this or if we should leave the wording like it is or  
12 you would rather think about it. I realize we could  
13 probably talk about this for another hour, but I just  
14 wanted to see if anybody had any strong feelings about  
15 it.

16 **DR. MODLIN:** I guess just to throw out one opinion  
17 here. To me, it seems as if option one may be the  
18 closest to reality in recognizing that we have a dearth  
19 of data in some respects. Maybe I might just ask, by  
20 asking how the Red Book has handled -- or is handling  
21 this issue at the moment. Larry, Jon? Do you want to

1 get --

2 **DR. PICKERING:** Just to give another opinion -- Can you  
3 put those back up, Bill, so we can remember what they  
4 were?

5 **DR. ATKINSON:** Sure. Yeah, this is the first option.  
6 The first option is accept subcu vaccines given IM but  
7 do not accept IM vaccines given by any other route.  
8 That is subcu vaccines -- or it's IM vaccines given  
9 subcu or intradermal. The original -- The original  
10 wording is don't accept anything given by an  
11 inappropriate route. This is accept subcu given IM,  
12 but not IM given subcu or some permutation of that.  
13 The next option is basically count everything and don't  
14 worry about it. Or the third option is don't count  
15 anything except certain things that we know that there  
16 is data that support lowered immunogenicity.

17 **DR. MODLIN:** I think you just mischaracterized that. I  
18 think, Bill, accept all doses.

19 **DR. ATKINSON:** Yes, accept everything. Accept  
20 everything -- I'm sorry. Accept everything. The most  
21 radical is the 1994 wording that said do not accept

1 anything given by any route that is not recommended or  
2 accept everything given by any route.

3 **DR. MODLIN:** Larry?

4 **DR. PICKERING:** John, I think one of the things with  
5 the vaccine schedule is to keep it as simple as  
6 possible, and that would be number two. However, we do  
7 have data supporting number three, and probably  
8 vaccines in that category should not be administered by  
9 those routes. So I, personally, probably -- I can't  
10 speak for the whole committee or John -- would favor  
11 number three.

12 **DR. MODLIN:** Well, you have data for the hepatitis B  
13 part, but you don't have the data for the all other  
14 doses.

15 **DR. ATKINSON:** Except the indirect about the DTaP  
16 schedules and the administration deep subcu in Europe,  
17 et cetera. So . . .

18 **DR. MODLIN:** So, actually, there is still a big data  
19 dearth even with option three.

20 Let me ask Melinda. You had your hand up.

21 **DR. WHARTON:** Yeah. This is something that comes up

1 periodically when a state immunization program goes  
2 into a physician's office and reviews immunization  
3 practices, and the situation where there's -- that I  
4 think precipitated the revisiting of this in the  
5 General Recommendations involved a very large number of  
6 children who were vaccinated in a practice over a  
7 several-year period.

8 Given that DTaP vaccines have been tested in clinical  
9 trials and been found to be effective when administered  
10 by the deep subcu route, albeit with perhaps a higher  
11 incidence of local adverse reactions and, as I  
12 understand it, hib vaccine is routinely given in the  
13 U.K. by either the IM or subcutaneous route, it's not  
14 clear to me what the need is to require that those  
15 vaccines be readministered given that these aberrations  
16 in recommended immunization practice are probably far  
17 more common than any of us would want to know. And we  
18 have good evidence that our immunization program in the  
19 United States is highly effective when it comes to  
20 preventing disease in spite of the fact that practice -  
21 - administration practices are perhaps not as good as

1 we would like.

2 One thing I would like to see in this is some strong  
3 guidance that when these aberrations from recommended  
4 practices are identified that corrective action be  
5 undertaken and people be -- that people be given  
6 guidance and training on how to appropriately  
7 administer vaccines so they don't do it anymore, but  
8 I'm not sure that part of the fix needs to be  
9 readministering a bunch of doses of DTaP to a child who  
10 we already know is at increased risk of getting large  
11 local reactions with the fourth and fifth dose anyway.

12 **DR. MODLIN:** Yes?

13 **MR. SCANDER:** John Scander, CDC Vaccine Safety.

14 I would just point out for number three, at least with  
15 regard to rabies vaccine, you know, the issue is not  
16 simply lack of immune response, but actual documented  
17 vaccines -- vaccine failure.

18 **DR. MODLIN:** Good point.

19 **DR. ATKINSON:** So I'm hearing three.

20 **DR. MODLIN:** Peggy?

21 **DR. RENNELS:** I agree with three.

1       **DR. MODLIN:** Okay. Rich? It looks like there's  
2       general agreement on number three.

3       **DR. ATKINSON:** That will be reflected in the next  
4       draft.

5       The next issue that I hope to not spend more than 30  
6       seconds on that I don't think we can resolve here  
7       either is the waiting period after vaccination. The  
8       current draft -- There was nothing previously. I sort  
9       of arbitrarily said there was no need to wait in the  
10      current draft. It was pointed out by, again, reviewers  
11      that this was inconsistent with the Red Book and I  
12      proposed to, in fact, change the wording to be  
13      consistent with the Red Book. ACIP has never  
14      recommended a fixed waiting period after a dose of  
15      vaccine because of observing for allergic reaction. I  
16      would suggest -- In fact, I've already made this  
17      change, unless you feel strongly -- that we basically  
18      mimic the Red Book statement which is "some experts  
19      recommend this waiting period of allergy." This is  
20      essentially verbatim for what is in the Red Book. I  
21      don't know if anyone has strong feelings about it. I

1 would suggest that we not create conflict when one is  
2 necessary. Unless you feel that we don't need to argue  
3 -- even talk about a waiting period, I could drop it  
4 completely.

5 **DR. MODLIN:** Let me maybe just start by asking Natalie  
6 and Dave how perhaps others -- how this would affect  
7 the public immunization programs, if at all.

8 **DR. SMITH:** As far as I know, most of our public  
9 clinics they don't insist on any kind of waiting  
10 period. They get them in and out. I mean, often if  
11 they're doing well-child visits, they end up hanging  
12 around anyway, but I don't think they use a waiting  
13 period, in general.

14 **DR. JOHNSON:** That's my impression, as well.

15 **DR. MODLIN:** Do you think they would if we had a change  
16 in the recommendation according to "some experts  
17 suggest"?

18 **DR. PETER:** I think, John --

19 **DR. MODLIN:** Yes, Georges?

20 **DR. PETER:** The Red Book statement actually was in the  
21 '97 edition and was based upon some VAERS data, if I

1 remember correctly, that demonstrated syncope but  
2 primarily in adolescents. Maybe Neal Halsey remembers  
3 better than I do, but I think that's what we intended  
4 was at least in adolescents it would be reasonable to  
5 keep patients for 15 or 20 minutes in case of syncope  
6 and resulting head injuries if they weren't in a  
7 medical facility.

8 **DR. MODLIN:** That's not necessarily an allergic  
9 reaction, but --

10 **DR. PETER:** No, and that's why I think it's important  
11 to -- I think it's for a reaction.

12 **DR. MODLIN:** Okay.

13 **DR. PETER:** Isn't that correct, Neal?

14 **DR. HALSEY:** Yeah, Georges, you're absolutely right.  
15 And I don't remember who pulled together the data and  
16 shared it with us at a Red Book Committee meeting. I  
17 thought it might have been published, but there were --  
18 there are a few serious head injuries that have  
19 occurred primarily from early adolescence, leaving,  
20 walking down stairs, and so forth. And they're not  
21 trivial. I was surprised to see those, and I think

1 they're in the VAERS database, but someone presented  
2 those to our committee and I'm blocking on who  
3 presented them.

4 **UNIDENTIFIED SPEAKER:** Miles Veron [phonetic] did.

5 **DR. HALSEY:** Miles Veron did, somebody is saying back  
6 here. But they should probably be shared with this  
7 committee as well, and it did make me change my mind  
8 about the need to wait because most people don't have  
9 people wait, but the syncope is a serious problem.

10 **DR. MODLIN:** Okay. So I guess the other -- again, the  
11 question -- I hate to get bogged down in semantics, but  
12 I don't think we would want to characterize this as an  
13 allergic reaction. It may be "for a possible syncopal  
14 reaction resulting in injury." Fall or injury would be  
15 a more accurate way to state the intent. Would that be  
16 fair?

17 **DR. EVANS:** I was just going to add, that was the paper  
18 that was published. Miles Veron was the lead author,  
19 and it was in JAMA, I believe. It was entitled  
20 "Syncope after Immunization." And they included at  
21 least one case from the Vaccine Compensation Program.

1       **DR. MODLIN:** I can give you a personal anecdote myself  
2       having had a syncopal reaction after an immunization.  
3       So it does happen.

4       Yes, Peggy?

5       **DR. RENNELS:** John, I would suggest to just drop out  
6       the word "allergic."

7       **DR. MODLIN:** Okay.

8       **DR. ATKINSON:** Actually, for the record, I believe I  
9       copied this statement exactly out of the Red Book. I  
10      think the Red Book actually does say "allergic" now. I  
11      could easily drop out the word "allergic." Not a  
12      problem.

13      **DR. MODLIN:** Do people want to retain the language that  
14      says "some experts" --

15      **DR. ATKINSON:** Do you want to discuss it? Do you need  
16      it to be here? Is it going to create more problems  
17      than it's worth?

18      **DR. MODLIN:** Rich?

19      **DR. CLOVER:** I would rather we be clear on what the  
20      data is. I think it's educational and of importance to  
21      state that it's syncope that we're talking about and

1 it's more common in young adolescents.

2 **DR. ATKINSON:** So perhaps the way to view this is  
3 actually add some syncope wording. The Red Book has  
4 got a whole paragraph, I think, on syncope. I could  
5 put some of that in.

6 **DR. MODLIN:** Peggy?

7 **DR. RENNELS:** Anaphylactoid reactions, you know, do  
8 occur and I thought, at least when we do vaccine  
9 trials, that's why we're doing it, why we make them  
10 stay in the office. So I think that's one -- part of  
11 the reaction we are looking for.

12 **DR. MODLIN:** We could include both. Bill, a  
13 suggestion, maybe the way to do this is to spend a  
14 little bit more time on this topic and revisit it in  
15 June, but maybe try to find what information we can,  
16 present it at that time and come back with options. I  
17 think that you're getting the sense of the Committee  
18 that they would like to include some language that is  
19 similar and that maybe we can make a final decision  
20 around the revised wording at that time.

21 **DR. ATKINSON:** Okay.

1 DR. MODLIN: Would everybody be comfortable with that?

2 UNIDENTIFIED SPEAKER: Sure.

3 DR. ATKINSON: Last two -- three thoughts and then a  
4 time line. There has been a suggestion that we include  
5 a VAERS report form in there. I've spoken to the  
6 Vaccine Safety folks and they tell me that they plan to  
7 revise the VAERS form in about -- in two years or less.

8 So the question is, do we want to put a VAERS form in  
9 here, since apparently it's not in the PDR anymore? Do  
10 we want to put a VAERS form, a report form in given  
11 that fact that it may well be revised before this  
12 document expires?

13 DR. MODLIN: Or do you want to put a web site  
14 reference?

15 DR. ATKINSON: Yeah. Currently, I've got a reference  
16 into the web site. I just thought out if there was any  
17 strong feelings about including that.

18 DR. MODLIN: I think that's the way to deal with that.

19 DR. ATKINSON: Okay. The next question is, one  
20 reviewer suggested we include the Vaccine Injury Table,  
21 the Vaccine Injury Table in the document itself. I

1 just throw that out to see if there were -- Well,  
2 currently, there's a web site reference to the Vaccine  
3 Injury Program in the document, but I would find out if  
4 there are any strong feelings about whether we should  
5 or should not. I think it's in the Red Book. It's a  
6 matter of do we want to include it in this document as  
7 well.

8 **DR. MODLIN:** Geoff, is the table published on the web  
9 site?

10 **DR. ATKINSON:** Yes.

11 **DR. EVANS:** Yes.

12 **DR. ATKINSON:** The web site is good enough?

13 Finally, one additional question was, Table 5 is a very  
14 large table at the very end of the document that is the  
15 Guide to Contraindications and Precautions. There was  
16 a suggestion by at least one reviewer that this not  
17 necessarily be the appropriate forum of it because of  
18 the fact it tends to change over time, that perhaps  
19 this document. This table lists appropriate and  
20 inappropriate contraindications would be perhaps more  
21 appropriate to publish as an annual document in the

1 revised Harmonized Schedule or some other forum rather  
2 than to put it in here, given the changeability of it.

3 I throw that out as a -- I said I would, whether or  
4 not you think it should be in here as it was last time.

5 This is the variant of the standards table, the  
6 original children standards table that was in the  
7 General Recs in 1994. Whether we want to keep it in  
8 this document or put in some other forum.

9 **DR. PETER:** John?

10 **DR. MODLIN:** Georges?

11 **DR. PETER:** Well, I made the suggestion. I know the  
12 CDC revises the table on contraindications regularly,  
13 but it's not generally available. And given the need  
14 to ensure correct contraindications and precautions and  
15 up-to-date ones, I would urge consideration that in  
16 addition to the yearly immunization schedule, we have a  
17 yearly guide on contraindications. Those things get  
18 posted on refrigerators. Nurses see them, and I think  
19 it would be very educational.

20 **DR. MODLIN:** I think it would be appropriate to add  
21 that to the agenda for the newly-formed work group on

1 the Harmonized Schedule, because it's an issue not just  
2 for the CDC but for everyone, and maybe that would be  
3 the best way to address that. That's a good point.

4 But I think for now, I guess my suggestion would be to  
5 retain it in the -- it certainly is not going to --

6 **DR. ORENSTEIN:** I think by the time this is published,  
7 we will be close to a new Harmonized Schedule. And if  
8 we decide to put in the Harmonized Schedule, which I  
9 think makes more sense, then I'm not sure we need it  
10 here.

11 **DR. MODLIN:** Okay. Why don't, again, we revisit that  
12 in June, Bill, or maybe it'll be a little bit further  
13 along, particularly with the Harmonized Schedule Work  
14 Group. Maybe they will have had an opportunity to  
15 address that.

16 **DR. MODLIN:** Jon?

17 **DR. ABRAMSON:** Jon Abramson.

18 We suffer over the same problem, but I do need to warn  
19 you that less than 50 percent of pediatricians ever go  
20 on computer. So if you really want the VAERS report  
21 used, you're putting it -- you're decreasing your use

1 of it by putting in on the web site by whatever percent  
2 are never going to get on there.

3 **DR. MODLIN:** We just learned to today that Georges has  
4 learned to use PowerPoint. I would --

5 (LAUGHTER)

6 **DR. MODLIN:** Georges may very well be leading that  
7 organization. I assume that we'll be able to drag the  
8 pediatricians along in some way or another. Yes?

9 **MR. SCANDER:** John Scander.

10 I would just point out that there is an annual hard copy  
11 mailing of VAERS report forms. I believe the mailing  
12 list is 200,000 at this point. So we're -- we're  
13 cognizant of the fact that there are still lots of  
14 folks out there with neither time inclination or  
15 resources or access the internet.

16 **DR. MODLIN:** Thanks.

17 **DR. ATKINSON:** So we'll talk about that again in June,  
18 okay.

19 The time table as it stands now for Version 9, I would  
20 like to get comments from anyone who cares to give them  
21 to me over the next couple of months. I will prepare a

1 revision in April and submit it to all of you, at the  
2 very latest, with your material here and perhaps  
3 earlier. Since it is such a large document, I realize  
4 it is something of a hardship to read it all. Perhaps  
5 if we can get the revisions done, I can get you -- At  
6 the very least, you'll get it with your mailing and  
7 maybe even a little earlier. And hopefully, we can  
8 revise the final issues and finish this thing off in  
9 June and then get it published sometime this summer.

10 **DR. MODLIN:** Again, unfortunately, Lucy is not here,  
11 but I -- it may very well be that getting the General  
12 Recs Work Group together by phone to review a final --  
13 take a final look at it. Then if there are any issues  
14 in any respects may be considered to be -- need to be  
15 discussed or controversial, at least we'll have a focus  
16 from the work group.

17 Bill?

18 **DR. SCHAFFNER:** Schaffner. Just to prolong Bill's  
19 pleasure, these are general recommendations on  
20 immunization and I must say I hadn't re-read the  
21 document recently, but it's just been brought to my

1 attention that in the Table of Contents on page 45 --  
2 It's really page 42 -- there are standards for  
3 pediatric immunization practices noted. Is there a --  
4 Obviously, this document has as its major focus  
5 pediatric immunization, but is there a place where we  
6 ought to reference also the standards for adult  
7 immunization? And as I've begun to think about that  
8 kind of issue in relationship to this document, for  
9 example, the vaccination of internationally-adopted  
10 children is important, but a question that I get with  
11 some frequency is how about immunizing people who are  
12 adults who are born abroad. There may be other issues  
13 embedded in here that relate to immunization practice  
14 in adults, either issues of commission or perhaps  
15 omission. I raise this as a thought for you-all.

16 **DR. ATKINSON:** Both the pediatric and adult standards  
17 are mentioned. There is a section specifically on  
18 pediatric, mainly because that section existed in the  
19 prior iteration of the document. So it was really not  
20 commission. It was omission. I could have easily put -  
21 - It does admit that they are both under revision and I

1 am advised by Dr. Peter that they probably will not be  
2 ready to summarize very well in this document at this  
3 time table.

4 **DR. PETER:** I actually think the time schedule now may  
5 be about the same. We hope to publish the new  
6 standards in October. So I think you would want to  
7 list them, at the very least, as in press. Otherwise,  
8 people will be looking at the 1992-93 standards on  
9 adults and children.

10 **DR. MODLIN:** Thanks. Bill, thanks once again for  
11 bearing with us. Again, I have a very firm intent of  
12 finishing and taking a vote on this document in June.  
13 Let me reiterate or ask for anyone who has comments on  
14 the draft, it would be very important to get them to  
15 him sometime within the next month. We'll ask the  
16 General Recs Work Group to take a look at it and,  
17 obviously, all of us will have a chance to review it in  
18 detail before the June meeting.

19 Is Hal Margolis here yet?

20 **DR. MARGOLIS:** Yes, I am.

21 **DR. MODLIN:** Hal, are you ready to go?

1 The next item on the agenda will be pertinent to the  
2 hep B statement that, as I mentioned yesterday, we also  
3 hope to wrap up in June. Today we're going to focus  
4 specifically on some new information on safety with hep  
5 B vaccine, particularly with some recently published  
6 information.

7 **DR. MARGOLIS:** What I wanted to do and use a few  
8 minutes and actually this may catch you up on your  
9 schedule. Recently, there were two papers and one  
10 editorial published in the *New England Journal* related  
11 to multiple sclerosis and hepatitis B immunization and  
12 Dr. Schaffner, who is one of the authors of the  
13 editorial, is here and I presume will add very much to  
14 the discussion. I do not intend to go through the  
15 papers. I assume most everybody has seen them. They  
16 were fairly newsworthy, but I felt it was worth at  
17 least looking at the nested case-control study which  
18 was derived from the Nurses Health Study that looked  
19 at, basically, two large groups as pointed out here,  
20 one recruited beginning in 1976 and the other in 1989.  
21 And with the ascertainment of the diagnosis of MS,

1 actually by questionnaire and then with physician  
2 ascertainment, actually it turned out that in overall  
3 about 86 percent of these women had a positive MRI and  
4 actually in the second recruitment group in the Nurses  
5 Health Study, too, the ascertainment was around 96  
6 percent with positive findings.

7 Hepatitis B vaccination was ascertained by both  
8 questionnaire and then a validation of the medical  
9 record, and that validation found that it was only  
10 ascertainable in about 64 percent. About 35 percent  
11 were -- could not find a record of the immunization,  
12 just either because of employer or other lack of  
13 record-keeping. And the controls were both healthy  
14 women and a breast cancer control group.

15 The cases amounted to 190 women with 534 controls and  
16 111 cancer -- breast cancer patient controls. What  
17 I've done to summarize some overall data, which were in  
18 looking at the vaccinated to the unvaccinated using the  
19 healthy controls, the age-adjusted relative risk was  
20 0.9 with a confidence interval crossing one. And the  
21 similar one using the breast cancer control group, the

1 risk was 1.2 -- Sorry, I've got an extra zero in there  
2 -- with a 95 confidence interval of 0.5 to 2.9.

3 It was also then looked at the group who had a later  
4 onset of MS, this trying to focus more on the  
5 recombinant vaccine group. Again, showing no increased  
6 risk and again no evidence of association.

7 They did a number of analyses trying to look at the  
8 issue of recall bias of vaccination and, in fact, when  
9 one just used history of vaccination, the relative risk  
10 went up to 1.0, but again with statistical association.

11 And again, this has been discussed in -- to this  
12 committee some of the other case-control studies that  
13 were done in Europe. Most of the -- In fact, none of  
14 those actually looked at documented vaccination  
15 history. So I just put this together and I figure this  
16 is going to be the discussion point at this point, is  
17 that their conclusions were there's no evidence of  
18 increased risk of MS among women vaccinated against  
19 hepatitis B. I think one can characterize this study  
20 as being robust in that they did a number of things to  
21 mitigate against some of the problems in these studies,

1 which was the nest case-control design, very high rates  
2 of participation, use of documentation of vaccination  
3 through vaccination records, and also use of a wide  
4 disease onset history in using two-year onset to  
5 minimize error from self-reported dates of onset. And  
6 these data now -- I mean, here come again some of the  
7 comparisons, is that recently there is what I guess  
8 would describe as an ecologic study from Canada, from  
9 Vancouver, that showed no increase in MS in population-  
10 based surveillane in a population that's had  
11 adolescent, as well as adult immunization going on for  
12 a number of years, but it does contradict what have  
13 been and I again discussed with this committee the  
14 nonsignificant increases seen in the two studies  
15 reported by the French and the one, the U.K. study  
16 which was the database retrieval study.

17 So I think with that, the other study that was reported  
18 was that of a vaccination study, and I'm not going to  
19 display the data because, again, I kind of figured we  
20 were going -- hepatitis B is -- much like Bill. I  
21 guess maybe I am learning that don't put too much out

1           there because this will generate discussion, but this  
2           was a vaccination study of patients with MS and, in  
3           fact, showed no evidence of short-term exacerbation of  
4           their disease and actually parallels another study that  
5           had been done, not with hepatitis B vaccine. This one  
6           had three vaccines, previous ones, that had been done  
7           with influenza vaccine that had shown a similar result  
8           and was thought to be representative of immunization  
9           issues in general.

10          So I guess with that, I would put it open for  
11          discussion and Dr. Schaffner might want to comment  
12          with, I think, a very eloquent editorial in terms of a  
13          hot issue.

14          **DR. SCHAFFNER:** I think you've summarized it very well,  
15          Hal. Perhaps Bruce Galen, do you want to make -- my  
16          colleague in writing the editorial? We thought, as did  
17          you, that the -- both studies were done using very  
18          rigorous methodology and provided at the end, bottom  
19          line, a great deal of reassurance to people who are  
20          receiving hepatitis B vaccine to people who had  
21          multiple sclerosis and to the physicians who care for

1 such folks.

2 **DR. MODLIN:** Bill or Hal, was a separate analysis done  
3 on the basis of immunization -- women who said that  
4 they were immunized with hep B but what you could not  
5 confirm with an immunization record? In other words --

6 **DR. MARGOLIS:** Yes. And that was -- Their analysis  
7 would show that the relative risk moved up a little bit  
8 and, you know, then they -- I think a very discussion  
9 of the issue of ascertainment bias and -- but, yes, and  
10 they presented those data in the text.

11 **DR. MODLIN:** I obviously haven't read it. Paul?

12 **DR. OFFIT:** Hal, this question is either for you or for  
13 Glenn Nowak, if he's still in the room.

14 ABC did a special on 20/20, which I'm sure you were on  
15 it, where they implied that the hepatitis B vaccine was  
16 associated with multiple sclerosis in a causal way.

17 This study goes a long way to disproving that. Do you  
18 or does the CDC have any interest in calling back ABC  
19 and having them do a follow-up study, follow-up report,  
20 since I know that their main interest is in getting it  
21 right, not in just selling advertising?

1 (LAUGHTER)

2 **DR. MARGOLIS:** Maybe Glenn -- AP did call and, you  
3 know, I think all of us are using these data to help  
4 arm practitioners with facts. I doubt if this is going  
5 to get aired anywhere.

6 **DR. NOWAK:** Glenn Nowak.

7 I think it's a good suggestion, Paul, but I wouldn't  
8 hold my breath.

9 (LAUGHTER)

10 **DR. MODLIN:** Bob?

11 **DR. CHEN:** I guess Hal and I didn't get a chance to  
12 discuss before his presentation. I think I would agree  
13 that these are studies that are very strong in terms of  
14 showing there's no association. We have another study,  
15 case-control study, going on in the Vaccine Safety  
16 Datalink and which we'll be presenting at the European  
17 Society of Pediatric Infectious Disease next month,  
18 which also show no association.

19 The one bit of caveat is that if you read the papers,  
20 they are a bit unusual in that they go -- describe the  
21 two other studies in great detail because the two other

1 studies, even though they have been conducted by very  
2 reputable pharmaco-epidemiologists and independently  
3 funded by the French Ministry of Health, has not been  
4 able to be published. I think it remains to be seen in  
5 terms of sorting out the methods to try to better  
6 understand if, in fact, the ascertainment bias is the  
7 true issue here. I think the other bit in which the --  
8 at least the U.K., Marian Sturkinbaum [phonetic] in the  
9 U.K. study, and the French suggest that they may be  
10 dealing with a slightly atypical demyelination disease  
11 which may not be classical MS, and that is -- in order  
12 to sort that out, the -- you would, in fact, need more  
13 of the medical records available than the traditional  
14 record linkage studies based on an ICD-type diagnosis  
15 have available.

16 So I think that -- just so we don't jump too far, I  
17 think, in general, the evidence, especially these two  
18 studies, are very, very powerful and definitely put the  
19 weight in terms of the negative as does the additional  
20 VSD study, but I think it's probably too soon to base  
21 basically dismiss this whole issue.

1       **DR. MODLIN:** Stan?

2       **DR. PLOTKIN:** Well, my comment somewhat takes off from  
3 Bob's.

4       I would recommend that CDC, if it hasn't already done  
5 this, ask a group of statisticians to look at all of  
6 studies and to give their judgment as to the  
7 statistical accuracy of the conclusions. The reason I  
8 say this is because the -- essentially the Director of  
9 Health and the statistician in France have published an  
10 article or letter in the -- in Lamond [phonetic]  
11 contesting the results of the studies published in the  
12 *New England Journal* and that there will probably be a  
13 letter written to the *New England Journal* also  
14 contesting the results. Now, this, of course, this is  
15 another example of the French exception and, you know,  
16 we have to take that with some understanding. But my  
17 serious point is that I think one should be prepared  
18 for these objections and I think also there should be  
19 some insistence, as Bob referred to, on the publication  
20 of those initial studies which, in fact, the French are  
21 using to claim that there is something and yet have

1           been unable to publish them.

2           **DR. MODLIN:** Thanks, Stan. Dr. Severyn?

3           **DR. SEVERYN:** Dr. Kristine Severyn, Vaccine Policy  
4           Institute.

5           Dr. Chen touched on one of the comments that I have, is  
6           that there are -- there could be other demyelinating  
7           diseases that are not classified as MS, and there have  
8           been people that are -- have developed demyelinating  
9           diseases after -- some of them quite crippling after  
10          hepatitis B vaccine. So I agree with Dr. Chen in that  
11          we should not prematurely dismiss this issue.

12          And secondly, these studies were funded by  
13          pharmaceutical companies and that might -- may or may  
14          not have some bearing.

15          Thank you.

16          **DR. MODLIN:** Thanks, Dr. Severyn. Thank you.

17          **DR. MARGOLIS:** Thanks.

18          **DR. MODLIN:** We'll move on. I appreciate it very much.

19          **DR. SMITH:** John --

20          **DR. MODLIN:** Yes?

21          **DR. SMITH:** -- just to reiterate, so we're going to

1 review the hepatitis B statement at -- what's --

2 **DR. MODLIN:** We certainly hope to -- We've had some  
3 discussion. I've spoken with Hal and with some others,  
4 and we do hope to be moving it along and to have a  
5 statement to get out to the Committee prior to the June  
6 meeting and to take a final vote.

7 **DR. SMITH:** Okay.

8 **DR. MODLIN:** Okay. The next item on the agenda is a  
9 review of the report on the Immunization Safety Review  
10 Committee of the Institute of Medicine. It's Dr.  
11 McCormick. She's here to bring us up-to-date on that  
12 review. Welcome.

13 **DR. McCORMICK:** Good morning. In January, the  
14 Institute of Medicine convened a committee at the  
15 request of CDC and NIH to examine emerging immunization  
16 safety concerns. The planning for this study was  
17 initiated over a year ago when the Public Health  
18 Service decided that it needed ongoing assistance in  
19 addressing the increasing number of vaccine safety  
20 hypotheses.

21 The project was developed in response to a number of

1 contextual factors, including an increase in the number  
2 of hypotheses linking vaccines to adverse events,  
3 encompassing a wide range of medical conditions with  
4 varying levels of scientific data, and an increasingly  
5 polarized climate for addressing these concerns.

6 The intent of this committee is to provide a mechanism  
7 for timely, objective, and expert review of vaccine  
8 safety issues.

9 It is not the typical IOM committee. Typical IOM  
10 committees are convened to study a particular issue  
11 over the course of 18 or 24 months and usually will  
12 report at the end of that period. In contrast, this  
13 study has been -- has a standing committee that will  
14 meet approximately three times per year over the three-  
15 year study period. At each meeting, the committee will  
16 examine specific safety vaccines and possibly two or  
17 more that may be closely related and then issue a brief  
18 focused report on each of these hypotheses within 60 to  
19 90 days of the meeting.

20 Another key of this study is that report findings will  
21 be widely disseminated to policy-makers, providers, and

1 the public. Both a scientific report and a brief two-  
2 to three-page lay summary will be issued on each  
3 hypothesis. Although the committee is operating quite  
4 differently from many IOM committees, we are subject,  
5 and I want to emphasize this, to the same usual NAS  
6 review. And at least for the Institute of Medicine,  
7 that means it gets reviewed first by the executives of  
8 the Institute and then goes to the traditional blinded  
9 NAS review.

10 The hypotheses to be addressed by the committee will be  
11 selected and prioritized by the interagency group on  
12 vaccines. The IAG has identified the topics for the  
13 committee's first three meetings and, not surprisingly,  
14 the first one will focus on the link between MMR  
15 vaccine and autism. The IAG has indicated the  
16 committee's second and third meetings will focus on the  
17 punitive link between thimerosal and autism and the  
18 hypothesis linking exposure to multiple antigens and  
19 adverse events. The IAG may also change the order of  
20 issues that come before the committee.

21 The committee is comprised of 15 members with expertise

1 in a range of disciplines, including pediatrics,  
2 neurology, immunology, internal medicine, infectious  
3 disease, genetics, epidemiology, biostatistics, risk  
4 perception and communication, decision analysis, public  
5 health, nursing, and ethics. In addition, Dr. Richard  
6 Johnston, who has chaired the previous IOM safety  
7 studies, is serving as a liaison for the IOM's  
8 oversight Board on Health Promotion and Disease  
9 Prevention. And I would say that Dr. Johnston is  
10 taking a very, very active role. He is not only  
11 providing continuity with previous IOM reports, he also  
12 has a very strong oversight role. The IOM's Board on  
13 Health Promotion and Disease Prevention, which I just  
14 got off, really is one of the largest boards at the IOM  
15 and really takes its oversight role very, very  
16 seriously and has been active in defining that. So Dr.  
17 Johnston will play and continue to play a very  
18 significant role in these activities.

19 Given the unique nature of this project, the IOM  
20 leadership develop strict criteria for committee  
21 membership, including no financial ties with vaccine

1 manufacturers or their parent companies; no past or  
2 present service on major vaccine advisory committees;  
3 no expert testimony or publications on issue of vaccine  
4 safety; and no current or recent funding from CDC.

5 The rationale for these criteria was two-fold. First,  
6 given the controversy surrounding vaccine safety, the  
7 IOM felt it was important to have an objective and  
8 independent committee that would not be subject to  
9 criticisms of conflict of interest. And second, given  
10 the uncertainty surrounding the hypotheses that would  
11 come before the committee in the future, the IOM wanted  
12 to ensure consistency in the committee membership and  
13 avoid having committee members to recuse themselves  
14 from the deliberations because they had participated in  
15 the development of a vaccine or research on vaccine  
16 safety.

17 The charge to the committee, the first organizational  
18 meeting was held, as I mentioned in January. The  
19 committee heard presentations from the sponsors, CDC  
20 and NIH, and other stakeholders, including  
21 Congressional Representatives Waxman, Weldon, and

1           Burton, the National Vaccine Information Center, and  
2           the American Academy of Pediatrics regarding their  
3           perspectives on vaccine safety. The committee also  
4           heard a series of presentations to assist in developing  
5           a conceptual framework for approaching the charge. The  
6           charge of the committee, as outlined by the sponsor,  
7           has three components: a plausibility assessment,  
8           including the evaluation of the causality evidence,  
9           biologic plausibility, and strength of competing  
10          hypotheses. We are also asked to make a significance  
11          assessment, taking into account the number of persons  
12          affected, the seriousness of, and the treatability of the  
13          adverse event and natural disease. And guidance,  
14          based on these two assessments, the committee was asked  
15          to provide guidance on potential future activities such  
16          as research, surveillance, communication, and policy  
17          review.

18          What we will not do. We will not make public policy.  
19          That is the responsibility of the federal agencies and  
20          their associated advisory committees. For example, the  
21          committee would never recommend that a vaccine be

1 pulled from the market or that the schedule be changed.

2 However, the committee might conclude that the adverse  
3 event threat is serious enough to warrant PHS convening  
4 its advisory bodies to review its evidence and  
5 policies.

6 The committee will agree that it will primarily on peer  
7 review literature. However, we also will be  
8 considering case reports from VAERS and other sources.

9 The committee chose to rely on methodology established  
10 by previous IOM committees on safety -- IOM vaccine  
11 safety committees, particularly as it relates to  
12 causality assessment.

13 The next meeting will be held March 8th through 10th in  
14 Washington and will focus, as I mentioned earlier, on  
15 the punitive relationship between MMR vaccine and  
16 autism. The March 8th meeting will be open to the  
17 public and we have a schedule of that -- a draft  
18 schedule of that meeting available, while the March 9th  
19 and 10th meetings will be closed for committee  
20 discussion and deliberation. The public meeting on  
21 March 8th will held in the lecture room at the National

1 Academy of Sciences from 8:30 and adjourn at 4:30 p.m.

2 We have the draft of that meeting.

3 The public meeting will be organized into two sessions.

4 The first session will focus on questions regarding  
5 the etiology, assessment, and classification and  
6 epidemiology of autism, and the second session will  
7 focus primarily on Dr. Wakefield's hypothesis linking  
8 the MMR vaccine, inflammatory bowel disease, and  
9 autism. Dr. Wakefield and his colleagues will be  
10 presenting their hypotheses and their most recent data.

11 We will also hear presentations on recent  
12 epidemiologic studies of the hypothesized link between  
13 MMR, IBD, and autism. For both sessions, there will be  
14 a panel of discussants who will comment on and react to  
15 the presentations and ask questions of the presenters  
16 and we will conclude the meeting with a brief public  
17 comment period.

18 The committee would really welcome an opportunity to  
19 present its findings to ACIP and other advisory  
20 committees. We would also encourage you to send the  
21 committee any materials or comments that might be

1 helpful in addressing the hypotheses and we would  
2 certainly look for and appreciate comments and  
3 suggestions about help with dissemination.

4 Thank you.

5 **DR. MODLIN:** Thanks, Dr. McCormick. Are there  
6 questions for Dr. McCormick, comments? Joel Ward?

7 **DR. WARD:** I was wondering if you could comment about  
8 IOM experience over the decades. I recall many years  
9 ago being removed from the committee because I had done  
10 a drug study and now I see that doing federal NIH or  
11 CDC studies or perhaps even being a researcher in the  
12 area disqualifies one. I do commend the committee on a  
13 really superb committee selection. But I'm just  
14 wondering, as the pendulum swings, if any career  
15 involvement or acknowledgement or involvement in  
16 research in vaccines now disqualifies you from  
17 assessing safety and whether there's some precedent in  
18 other medical or non-medical assessments in the  
19 process.

20 **DR. McCORMICK:** First of all, we don't believe this is  
21 the model for studying vaccine safety and we shouldn't

1 -- don't think this should be generalized. This model  
2 -- It is -- This model is very different, particularly  
3 because of the specific issues that are being  
4 addressed. Clearly, issues that are dealing much more  
5 technically with vaccine safety should have people who  
6 know what they're -- I won't say we don't know what  
7 we're talking about --

8 (LAUGHTER)

9 **DR. McCORMICK:** -- but people who are invested with the  
10 direct day-to-day data. I think that the more broad  
11 general expertise on this committee is appropriate for  
12 these level of questions, but we absolutely have stated  
13 publicly that we don't think that this is the model for  
14 future vaccine safety committees.

15 **DR. MODLIN:** Larry?

16 **DR. PICKERING:** Thank you for the update. I have a  
17 couple of questions.

18 One is, what criteria were used or will be used for the  
19 topic selections that you've chosen, both now and in  
20 the future? There are a lot of vaccine accusations,  
21 some of which some data to support them and some of

1           which don't. So could you inform us on how these  
2           selections were made and how selections in the future  
3           will be made.

4           **DR. McCORMICK:** That comes from our sponsors, from the  
5           interagency group on vaccine safety. So we don't  
6           select it ourselves. We are given the topics, and this  
7           one -- the MMR/autism one was very high on everybody's  
8           list.

9           **DR. MODLIN:** I believe I also heard you say the  
10          interagency group not only selects your agenda but can  
11          change the agenda --

12          **DR. McCORMICK:** Yes.

13          **DR. MODLIN:** -- along the way if it is  
14          felt -- Marty?

15          **DR. MYERS:** And specifically to mention this. Georges  
16          mentioned this in his comments, that the National  
17          Vaccine Advisory Committee's subcommittee on safety and  
18          communications will be a forum by which we can have  
19          public input into the interagency's vaccine groups  
20          deliberations.

21          **DR. MODLIN:** Neal?

1       **DR. HALSEY:** Neal Halsey.

2       I wonder if -- I want to ask two questions. The first  
3       has to do with if the IOM has ever gone back or would  
4       consider going back over some of the previous decisions  
5       and statements that they made with regard to perhaps  
6       some factual errors that took place in the  
7       consideration. And I would refer specifically to the  
8       decision that there was a biologic plausibility for  
9       hepatitis B vaccine to be associated with multiple  
10      sclerosis. I think as we've seen today, the data don't  
11      support that, and in fact, others who have reviewed  
12      that, which was based upon rabbit studies and rabbit  
13      myelon basic protein, which was not the case with  
14      humans, there was no evidence of any cross-reactivity.

15      And I would encourage you, as your process continues,  
16      to go back over some of the things that you've said  
17      before, which might need updating with additional  
18      information.

19      The second has to do with your process. You said  
20      you're going to follow the same methodology that has  
21      been used before. I think it's not been helpful at

1 times to have those various categories of the  
2 likelihood of something being causally related or not  
3 because you came up with so many where the evidence is  
4 inadequate to accept or reject when, in fact, the  
5 evidence was so weak that it really didn't offer - it  
6 didn't offer anything. And I think there needs to be a  
7 greater burden of evidence on people who are alleging  
8 new adverse events. We're facing an increasing number,  
9 and I actually think that some of the IOM review  
10 process, leaving people with many more doubts, has  
11 helped contribute to making it possible to throw out  
12 new hypotheses where there isn't evidence one way or  
13 other or even evidence to support.

14 **DR. McCORMICK:** First, again, if the IAG suggests  
15 reviewing -- revisiting some of these complications --  
16 I know that one was also on the list of about 30 that  
17 we were given at our first meeting -- then I think we  
18 would do that. But, again, the initiative isn't on our  
19 part. It's coming from our sponsors in terms of what  
20 conditions they feel are most important for us to  
21 review at any given time.

1 With regard to the second, I think that we are  
2 cognizant of the fact that we are probably going to be  
3 most of the time in the middle where we say there is  
4 not very strong evidence one way or another and very  
5 weak evidence and very spotty evidence, and I think  
6 that the committee has taken that very seriously and is  
7 working towards trying to develop some alternatives in  
8 terms of suggestions to move beyond simply saying  
9 "yeah" or "nah," and this is an emerging process at  
10 this point.

11 **DR. MODLIN:** Yes, Larry?

12 **DR. PICKERING:** One more question.

13 The Medical Research Council of the U.K., as you know,  
14 has reviewed the first topic that you've selected.  
15 Will their deliberations and reports be part of your  
16 considerations?

17 **DR. McCORMICK:** Yes.

18 **DR. MODLIN:** Bob Chen?

19 **DR. CHEN:** Just to address Joel Ward's question about  
20 other arenas may try to deal with this question of  
21 staffing these investigations where these perceived

1 conflicts of interest are somehow managed in the  
2 appropriate way, and the one model that I looked into a  
3 little bit is the National Transportation Safety Board  
4 and their investigations. And what happens is that in,  
5 let's say, an airplane crash, they deputize the safety  
6 expert from the appropriate airplane manufacturer, as  
7 well as the airline, but the overall investigation is  
8 still led by the NTSB so that it's a way in which the  
9 appropriate expertise could be brought in, but the very  
10 clear oversight is still done by the independent body.  
11 So that's just one thing that we might look at in the  
12 future.

13 **DR. MODLIN:** Thanks, Bob. Further questions or  
14 comments?

15 (NO RESPONSE)

16 **DR. MODLIN:** Dr. McCormick, thank you very much. We  
17 certainly appreciate your willingness to come down and  
18 bring us up-to-date on the IOM process.  
19 The last item on the agenda before lunch will be an  
20 informational item on discontinuation of manufacture  
21 and marketing of both cholera and typhoid vaccines, and

1 the presentation will be by Dr. Mintz from the National  
2 Center for Infectious Disease.

3 **DR. MINTZ:** Good morning. It's a pleasure to be here  
4 this morning and be the speaker. I'm honored to be the  
5 last one before lunch. I'll try and keep my remarks  
6 brief.

7 Today I'm going to speak to you about two life-  
8 threatening vaccine-preventable diseases, cholera and  
9 typhoid fever, which are major public health problems  
10 in many parts of the world but which are rarely  
11 discussed in this forum. The reason that they're on  
12 today's agenda is to bring to your attention the  
13 decision by Wyeth-Lederle to halt production and U.S.  
14 distribution of their vaccines for cholera and typhoid  
15 fever in June of last year. Representatives from  
16 Wyeth-Lederle have assured me that at this time there  
17 is no vaccine -- none of either vaccine on the market  
18 which has not already exceeded its expiration date.  
19 I'd like to consider each vaccine separately and begin  
20 with cholera. The last ACIP recommendations regarding  
21 cholera vaccine were made in 1988. I apologize for an

1 error on the handout where it says 1998. And cholera  
2 vaccine at that time was recommended, and I quote,  
3 "only to satisfy entry requirements for persons who  
4 anticipate travel to countries that require it and for  
5 special high-risk groups that work and live in highly-  
6 endemic areas under less than sanitary conditions."  
7 Now, for nearly the past decade, no country has  
8 officially required evidence of cholera vaccination for  
9 entry and this is in keeping with recommendations by  
10 CDC and WHO that travelers not be vaccinated for  
11 cholera.

12 The Wyeth-Lederle cholera vaccine was never considered  
13 a very good vaccine. It was only 50 percent effective  
14 against clinical illness and a duration of protection  
15 of approximately three to six months. However, there  
16 are no other cholera vaccines licensed in the U.S.  
17 Now, two other more recently-developed oral cholera  
18 vaccines are available in Europe and elsewhere.  
19 However, neither of them is licensed here.  
20 The demand for cholera vaccine is limited. We have not  
21 been overwhelmed with calls from travel clinics,

1 although there have been a few inquiring about this  
2 situation. We see approximately ten cases of cholera  
3 diagnosed in the U.S. each year and approximately two-  
4 thirds of those are among travelers, so persons who  
5 might consider vaccination or might have been protected  
6 by vaccination. So an average of about six persons per  
7 year.

8 I'd like to continue with typhoid vaccine and then take  
9 questions on both of them at the end, if that's all  
10 right.

11 For typhoid fever immunization, the last  
12 recommendations by ACIP were made in 1994. And I quote  
13 from those, "Immunization against typhoid fever is  
14 recommended for travelers to areas where there is a  
15 recognized risk of exposure to salmonella typhi,  
16 counties in Latin America, Asia, and Africa, who have  
17 prolonged exposure to potentially contaminated food or  
18 drink, also for persons with intimate exposure, that is  
19 household contact to a documented salmonella typhi  
20 carrier, and for microbiology laboratorians who work  
21 frequently with salmonella typhi."

1 The typhoid vaccine manufactured by Wyeth-Lederle  
2 ranged in efficacy according to various studies from  
3 about 51 to 77 percent. I saw another analysis today  
4 that put it somewhere between 63 and 80 percent.

5 There are two other typhoid vaccines that are licensed  
6 in the U.S. However, only the Wyeth vaccine was  
7 licensed for children between the ages of six months  
8 and two years of age.

9 In this age category, there are cases of typhoid fever  
10 and in the six-year period from 1994 through 1999, 33  
11 cases occurred in children between six and 23 months of  
12 age in the U.S. Now, I don't know how many of those  
13 cases were children who had travelled, but for most of  
14 our typhoid cases, the average was about 80 percent.  
15 That is, we have 20 percent acquired here in the U.S.  
16 and the remainder acquired overseas.

17 We have had some calls from -- generally from travel  
18 clinics, occasionally from pediatricians regarding  
19 this, and our response has been until newer vaccines  
20 are developed and licensed for children younger than  
21 two years of age, it's important to emphasize to

1 parents who travel with their young children the  
2 importance of attention to food and drink through which  
3 typhoid fever may be acquired.

4 That's pretty much the end of the presentation. I  
5 would be glad for any comments or questions.

6 **DR. MODLIN:** Questions for Dr. Mintz? Comments?

7 (NO RESPONSE)

8 **DR. MINTZ:** I take it from that that you're all either  
9 hungry, sleepy, or perhaps both?

10 **DR. MODLIN:** Except for Dr. Pickering. Larry?

11 **DR. PICKERING:** Are there vaccines in other countries  
12 that are utilized for children down to the six-month-  
13 of-age limit?

14 **DR. MINTZ:** Not that I'm aware of. I spoke with  
15 several people, including Phil Hosbach from Pasteur  
16 Aventis, regarding a conjugated VI capsular  
17 polysaccharide vaccine that's developed by John Robins  
18 at NIH and that's been looked at in Vietnam, primarily.

19 It appears to be very effective in children two years  
20 of age and older, and there's some preliminary studies  
21 that it at least produces antibody responses in younger

1 children. There's also a liquid formulation of the  
2 oral TY21-A typhoid vaccine that has been tried in  
3 younger children in the past. I don't believe it's  
4 licensed or used there for that age group, but there  
5 have been trials and effectiveness was a little  
6 difficult to gauge because there were small numbers.  
7 However, the children did take the syrup well.

8 **DR. MODLIN:** Dr. Deseda?

9 **DR. DESEDA:** I want to know if there's any application  
10 for licensure for any of the other cholera vaccines?

11 **DR. MINTZ:** I know that several years ago the vaccine  
12 manufactured by the Swiss Serum Institute in Berna,  
13 Oracol [phonetic], was considered by the FDA or  
14 presented to the committee at FDA for licensure and was  
15 not licensed. Perhaps someone here from FDA could  
16 comment more on that. I don't know if they plan to  
17 reapply for licensure.

18 **DR. MIDTHUN:** Is this on? There was -- is a license  
19 application, as you indicated, which was presented to  
20 our Vaccines Advisory Committee approximately two years  
21 ago and I really can't comment any further on that.

1 DR. MODLIN: Thanks, Karen. Stan?

2 DR. PLOTKIN: There is the Homegran [phonetic] vaccine  
3 --

4 DR. MINTZ: Yes.

5 DR. PLOTKIN: -- which I understood -- I hope I'm not  
6 speaking out of turn, but I understood that SmithKline  
7 was developing that vaccine, at least in Europe. I  
8 don't know whether they plan to bring it into the  
9 States.

10 DR. MINTZ: I think you're referring to the vaccine  
11 that we call the whole cell beta subunit, WCBS vaccine.  
12 It's a killed oral vaccine developed in Sweden, and  
13 that is licensed and sold primarily to travelers in  
14 several countries in Europe. I don't believe that's  
15 ever been brought before FDA to apply for licensure in  
16 the U.S., but I don't know that and I don't know if  
17 there are plans to do so.

18 DR. MODLIN: Other comments or questions? Dr. Mintz,  
19 Alison, this means that we have existing  
20 recommendations for a vaccine that will not exist  
21 within a short period of time. So it sounds like we

1 may have at least some housekeeping to do with respect  
2 to the cholera statement -- Is that fair? -- and  
3 perhaps the typhoid statement as well, even though it's  
4 a bit more recent.

5 Other questions or comments?

6 (NO RESPONSE)

7 **DR. MODLIN:** Dr. Mintz, thank you very much.

8 **DR. MINTZ:** Thank you.

9 **DR. MODLIN:** We'll start up again at 1:00.

10 (LUNCH RECESS FROM 11:53 A.M. TO 1:05 P.M.)

11 **DR. MODLIN:** We'll begin -- Dr. Snider has an  
12 announcement that he would like to make before we  
13 begin. Dixie?

14 **DR. SNIDER:** Yes. I just wanted to explain the  
15 situation with regard to a quorum. I said that there  
16 was a quorum of eight and that people may have noticed,  
17 there are only 12 members. The situation is that we  
18 have gotten the Charter approved for 15 members, which  
19 means that the quorum is eight. The three nominees  
20 have not yet been signed off on. So we have three  
21 people who we expect to come on who are not yet

1 officially designated. So that's the reason for -- the  
2 reason we had to increase the official quorum, and I  
3 wanted to encourage everyone to stay. I know there's  
4 travel problems, but I wanted to encourage everyone to  
5 stay so that we can have an official meeting.

6 The other thing, Gloria asked me to tell you, as  
7 members of the -- voting members of the Committee, just  
8 to remind you that when you come to these meetings, you  
9 are government employees and that you have to follow  
10 the travel rules. Therefore, if you make -- or if you  
11 plan to make any changes in your travel or in your  
12 accommodations for this evening, you really need to  
13 talk to Gloria and make sure that the appropriate  
14 paperwork gets done. We would hate to have members  
15 have to pay money out of their own pocket for executing  
16 something they thought was okay but actually didn't  
17 follow the rules.

18 **DR. MODLIN:** Dixie, thank you. The first item on the  
19 agenda for this afternoon will be in some respects a  
20 sequel to a very nice presentation that Joel Ward gave  
21 to us in October, just at the time or just a week or

1 two prior to the time that they were getting ready to  
2 break the code on the adolescent and adult pertussis  
3 vaccine trial.

4 Joel, I assume the code has been broken, and we are  
5 going to hear these data presented to us this  
6 afternoon.

7 **DR. WARD:** (Inaudible)

8 **DR. MODLIN:** My understanding is that no, that you're  
9 first up. We can provide some alternative  
10 entertainment if it's going to take a few minutes.

11 **UNIDENTIFIED SPEAKER:** I've heard you sing, John.

12 (LAUGHTER)

13 **DR. MODLIN:** Unfortunately, Chuck Helms has left.

14 **DR. WARD:** Okay. I think most of the people here are  
15 familiar with the APERT trial. This is an NIH-  
16 initiated multi-center trial that has been in evolution  
17 and conduct for about four years. It had two major  
18 objectives, and that was to define the epidemiology of  
19 pertussis in adolescents and adults in a prospective  
20 manner using very intensive microbiologic and other  
21 epidemiologic surveillance techniques, and it was also

1 a randomized double-blind trial with hepatitis A and  
2 acellular pertussis vaccine.

3 Although NIH initiated this, once an independent  
4 committee selected the vaccine, there was some major  
5 support provided by the Glaxo SmithKline Company. The  
6 eight study sites are listed here throughout the  
7 country. These are mainly the VTEU sites of NIH with  
8 two additions and these are the principle investigators  
9 at each of the sites. UCLA Center for Vaccine Research  
10 was acting as the coordinating center and the reference  
11 laboratory.

12 You-all are familiar with the proposition or the  
13 prospect of the hypothesis. Clearly, pertussis cough  
14 illnesses are, as I mentioned at my last meeting,  
15 probably the major infectious disease of older  
16 individuals as we think of otitis being in children,  
17 perhaps. They are extraordinarily frequent. At least  
18 one out of two people have a cough illness lasting five  
19 days or longer every year which represents enormous  
20 morbidity and mortality, and what wasn't known was  
21 whether pertussis might represent a preventable and

1 perhaps a significant proportion of those cough  
2 illnesses.

3 We know that pertussis occurs in adolescents and adults  
4 and immunity wanes. We know those symptoms can range  
5 from being totally asymptomatic to mild to moderate  
6 disease or even classical whooping cough. We know that  
7 early treatment can be effective in mitigating disease  
8 but it's almost never entertained or considered or  
9 diagnosed. And we know that for most epidemiologic  
10 studies that over half of the cases in children can  
11 usually be traced to an earlier case in an adolescent  
12 or adult in the household or in the environment. It  
13 brings the prospect that the ultimate control of  
14 pertussis may require something more than the routine  
15 immunization of infants.

16 Now, the difficulties of diagnosing pertussis in  
17 adolescents and adults is well-known to clinicians. It  
18 is a diagnosis that -- and a disease almost unknown to  
19 the internist. Cultures are rarely obtained and sent  
20 and usually what is known from the literature comes out  
21 of family contact studies, often from Europe and other

1 studies where there was a focus on pertussis and they  
2 found cough illness as part of an epidemic  
3 investigation in children or day care centers or as  
4 part of a vaccine trial in older members in that  
5 household.

6 Unfortunately, cultures are not very sensitive because  
7 they're usually obtained late after somebody has been  
8 coughing for quite a while and they do require careful  
9 preparation in media and knowledge of growth and  
10 identification of pertussis.

11 The serology I could go into for at least an hour. It  
12 is very complicated. There's nine different routine  
13 assays run. It approaches the complexity of EB virus  
14 interpretations, I think, or CMV.

15 One of the conclusions of our study was to evaluate  
16 PCR, which ultimately proved not to be very -- add much  
17 more diagnostic sensitivity. And of course, the  
18 infections and illnesses that occur, since they're not  
19 diagnosed are rarely reported.

20 So, again, we had several objectives: primarily  
21 epidemiology of infection and disease; the efficacy of

1 vaccine; of course, vaccine safety; and a lot of  
2 adjacent studies to look at immune response, not only  
3 to the vaccine but to naturally-occurring infection and  
4 illness; to look at variability; and to see if we could  
5 assess something about correlates and protections. An  
6 ambitious agenda for one trial.

7 This was prospective, control, randomized, double-  
8 blind, eight sites, two years, 2,781 subjects, two  
9 vaccine groups, a three-component vaccine with PT, FHA,  
10 and Protactin. There was very active surveillance with  
11 phone calls every two weeks. Anyone with a cough  
12 illness of five days or greater was brought in for  
13 microbiologic and clinical evaluations and this was  
14 carried out for two years at eight sites.

15 PCR was employed. A great amount of work went into  
16 maximizing serologic capabilities and all illnesses  
17 were evaluated with acute and convalescent sero as most  
18 of the published literature has sero that are obtained  
19 weeks after a cough illness. So this was trying to get  
20 acute and convalescent. Since all of this had been  
21 exposure to pertussis, either by virtue of having been

1 immunized as children and through natural infection,  
2 interpreting antibody responses can be very tricky.  
3 This was the essential study design. There was just  
4 one dose of vaccine given at entry into the trial.  
5 Blood specimens were obtained from all subjects three  
6 to six times as part of routine serological  
7 surveillance so that we could look at periodic changes  
8 in paired sero over time periods in the study, but in  
9 addition to that, every time there was a cough illness,  
10 there was an additional pair obtained at day five,  
11 early -- relatively early in the cough illness, not  
12 necessarily early in the time of infection because we  
13 don't know when that might have occurred, and then one  
14 month later -- This totalled many thousands of bloods  
15 collected, and I'll show you a slide on that -- and of  
16 course, careful safety evaluations.

17 This showed the representations in the number of blood  
18 specimens obtained. There are more than 13,881 and if  
19 you multiply that times nine different assays, you have  
20 some idea of the volume of serologic work that's  
21 required.

1 This is the enrollment of subjects who were recruited  
2 rather quickly in the summer of '97. This was the  
3 dropout rate which was very insignificant until this  
4 period when we extended the trial for a six-month  
5 period to try and ascertain more cases, and there were  
6 competing studies going on at several of the sites.  
7 This is the age distribution of the subjects. They  
8 ranged from 15 to 65 years of age. Since these two  
9 cohorts are half of these decades, it's a pretty good  
10 representation by age across the eight study sites.  
11 There was some variation between different study sites  
12 in their distributions, but the mean age was 34.8 years  
13 and it did include an adequate number of adolescents, I  
14 believe.  
15 This is a comparability of study groups. This is after  
16 the breaking of the codes and the randomization of the  
17 AP and hepatitis A groups, the number of person months,  
18 drop-out, sex. Interestingly, two-thirds of the  
19 subjects were female in this recruit/volunteer,  
20 intensively studied population. A predominance of  
21 caucasians, 70 percent, no difference. In fact, there

1 were no significant differences in these factors. I  
2 would just point out that the study consisted of about  
3 a third health care workers, a third students, and a  
4 third community-acquired volunteers. It varied a  
5 little bit between different study sites. They  
6 reported we didn't have independent validation of prior  
7 vaccine in essentially a majority of the subjects and  
8 smoking, which is a variable for coughing illness, was  
9 prevalent at 17 percent and quite variable between  
10 study sites, with California being the lowest.

11 I had some interesting safety data, which was just  
12 analyzed in part by virtue of the IOM in the last 12  
13 hours. So let me present this to you.

14 Usually a mundane subject, and these would be adverse  
15 reactions in the first 14 days after immunization, but  
16 this was a blinded trial and this was analyzed sometime  
17 after the event from a multi-center study done at a  
18 coordinating center. This just looks at fevers, and  
19 there were almost no fevers in either the hepatitis A  
20 or in the pertussis vaccine group, which is reassuring.

21 We further looked at this by sex and by vaccine group

1 and this is an expanded scale. So you can see this is  
2 .4 percent. So fevers are very rare. And there is no  
3 difference really between males and females or between  
4 the two vaccine groups.

5 This is looking at decreased activity and this is  
6 general malaise, systemic, over a 14-day interval.

7 This was obtained by diary card where it was very  
8 complete and careful education of the subjects and then  
9 a 14-day phone call and follow-up of the diary  
10 information. Again, no differences in general malaise  
11 between the study groups and no real dramatic  
12 differences by sex, males and females. You have to  
13 focus on the solid lines of the pertussis group. And  
14 the dotted lines here are the male and female of the  
15 hepatitis A group. There is a significance here with  
16 more malaise in females, but this difference is the  
17 difference between one and two and a half percent. So  
18 it's not a very important difference.

19 However, this difference is quite different. This  
20 looks at the appearance of muscle lumps at the  
21 injection site and the occurrence in time after

1 immunization. The hepatitis A in red. So there is  
2 some lumps being reported and a rather somewhat  
3 biphasic reporting, both initially but of a much  
4 greater magnitude, a range of six percent compared to  
5 two percent in hepatitis A group, and then a delayed  
6 appearance of lumps around day seven or eight. But the  
7 interesting analysis to this is looking at it by sex,  
8 and essentially all of these lumps are reported in the  
9 female segment of the -- of the pertussis study group  
10 with really no meaningful significant differences  
11 between the males in the group and the hepatitis A  
12 group, which may shed some light on previous reports in  
13 children.

14 Swelling, which is probably related to the lumps, is  
15 likewise significantly higher in the pertussis group,  
16 but not of a high magnitude, two to five percent.

17 Again, biphasic and higher than the hepatitis A,  
18 significantly so. Again, all of that is due to reports  
19 from females, not males.

20 This analysis came about, I think, because of the focus  
21 on the anthrax and the sexual difference and reported

1 reactions. And they asked us to look at this in the  
2 APERT trial, and that's what I'm sharing with you  
3 today.

4 Redness, likewise, another measure. Not very high. I  
5 don't think any of these are in the range of real  
6 worrisome. None of these were severe. None of them  
7 required medical follow-up, hospitalization, or  
8 treatment, but they clearly are a significant finding  
9 and different than hepatitis A. Again, all of that  
10 redness was in the female group, not to the males.  
11 Soreness at the injection site, likewise, and again,  
12 that proportion was almost -- almost -- there's a  
13 little blimp here, but it's essentially all in the  
14 female segment.

15 But here muscle aches, and this is -- this is  
16 generalized muscle aches, really not a difference  
17 between the study groups and not a difference by sex.  
18 So there were some reported differences, but the local  
19 reactions appeared to be very sexually dominant.  
20 There were no serious adverse reactions attributable to  
21 the vaccine and the distribution between the two groups

1 were essentially the same, and there were no adverse  
2 outcomes in the 60 pregnancies that occurred to study  
3 subjects, in spite of screening and admonitions.

4 This is the incidence of cough illness. I think I  
5 shared this slide with you earlier. Again, just to  
6 reinforce for the Committee and for the public health  
7 practitioners how important cough illness is, and you  
8 may hear me cough today, but it's not pertussis. It's  
9 in the range of four to five percent per month in some  
10 of these subjects. It certainly has a seasonality  
11 occurrence to it.

12 There was some variability. These are cough illnesses  
13 at five days or longer. So this excludes all cough  
14 illnesses lasting one to four days, presumably to try  
15 and filter out the viral etiologies or some proportion  
16 of them. But overall, this would be .6 episodes per  
17 year per person.

18 Now, half of the study subjects had no cough illnesses  
19 over the two-year period, but the other half had more  
20 than one, this proportion, fifteen percent having two,  
21 eight or nine percent having three, et cetera.

1 And this is the distribution, a slight trend towards  
2 increasing incidence of prolonged cough illness in  
3 older individuals, but clearly present across the age  
4 range in all age groups.

5 And the duration of these coughs are really quite  
6 significant. So this is five to ten days, ten to 15  
7 days, 15 to 20, et cetera, on out to greater than 60  
8 days of cough. And you can see that this is five  
9 percent here. The median here is 15 to 20 days of  
10 cough. These are not insignificant illnesses in the  
11 distribution. And this, of course, excludes all cough  
12 which I'm sure would be off the chart here, those less  
13 than five days.

14 And this was to look at the fact of smoking. This  
15 looks at the percentage of individuals by frequency of  
16 their cough, and those that tend to have more frequent  
17 coughs significantly have a much higher proportion of  
18 smokers, 39 percent. So there is a confounder in the  
19 coughing analysis, obviously, and there was a  
20 geographic difference as well.

21 Okay. The important issue is did hepatitis, in

1 comparison of the pertussis-vaccinated versus hepatitis  
2 A control vaccinated, was there a difference in the  
3 incidence of cough illness? And I must tell you this  
4 is the biggest reason why I went into this study and,  
5 following true to form, there was no difference in the  
6 overall incidence of cough illness between the study  
7 groups. That's not to say that it didn't prevent  
8 pertussis and not to say it didn't prevent disease, but  
9 the overall burden of cough illness could not be  
10 measured and I will show you a slide explaining the  
11 reason for that when I tell you what the proportion of  
12 pertussis was in this population.

13 And this now looks at that same data in the pertussis-  
14 immunized versus control subjects. No significance  
15 here, stratified by duration of cough. So if you know  
16 look at coughs greater than one week, two weeks, three  
17 weeks, or greater, you still see no significant  
18 difference. And this is due to the fact that the  
19 proportion of pertussis is smaller -- is relatively  
20 small. It's between one and seven percent, and the  
21 study is not powered to detect a difference with a

1 proportion that small.

2 Now, the important primary case definition was there.

3 There were individuals with a cough illness detected  
4 prospectively and evaluated at one of the study sites.

5 It required a positive culture or a positive PCR or a  
6 positive serologic change in the acute to convalescent.

7 This is a much different case definition than has been  
8 used in the literature, which is generally a high

9 convalescent, which you can't tell really what the

10 (inaudible) was before that or whether the rise was

11 related to the illness or not related to the illness.

12 So these are paired within 28 days. We had tight time  
13 windows for this. And we used our committee, oversight

14 committee, which was chaired by Neal Halsey, and Bill

15 Schaffner was the safety monitor for this study. It

16 had PT alone or it required two independent antibody

17 rises of two-fold or greater and there was considerable

18 amount of validation to show that a two-fold or greater

19 provided almost no chance of a random occurrence of a

20 false positive.

21 This shows the categorization serologically. The

1 culture and PCR are relatively clear-cut, but the  
2 primary case definition included serologic cases that  
3 had a PT or two other antibody rises between acute and  
4 convalescent, and this was the primary case definition.

5 We then had five other categories of less stringent,  
6 presumably more sensitive but less specific case  
7 definitions. And basically what these did is since we  
8 had from each individual sometimes as many as ten sero  
9 over two years, we were able to look at paired sero  
10 prior to the onset of cough. Let's say a month earlier  
11 or two months earlier. So we called that an early  
12 specimen. So the primary case definition depended on  
13 acute to convalescent.

14 This category two looked at one within six months of  
15 the illness. Another category looked at any antibody  
16 rise not requiring two independent antibody. A fourth  
17 category looked at early to convalescent, looking at  
18 any antibodies. And the latter two categories are the  
19 standard literature kinds of looks that looked at high  
20 acutes. It's a very complicated area and I'm not going  
21 to present it in any detail today except in the control

1 group because of the confounding of immunized subjects  
2 having higher titers than controls. There will be a  
3 detailed analysis of antibody decay since we have an  
4 antibody profile in all subjects to look at those  
5 latter two categories, but it will take some time.  
6 These categories are useful in getting at our primary  
7 objectives, one of our primary objectives, which was  
8 our assessment of disease incidence using different  
9 criteria.

10 Now, these are the results of the study. I spent many  
11 hours trying to make it as simple as I can, but it's  
12 hard. The primary case definition are the first two  
13 rows, hepatitis A control group cases and the acellular  
14 pertussis. This is unblinded, broken codes. There  
15 were five cases in the hepatitis A group that were  
16 culture of PCR-positive and one case in the AP group.  
17 This case is a very interesting case because it was  
18 PCR-negative, culture-positive, and careful serologic  
19 showed absolutely no antibody change to any of the nine  
20 antibodies before or after. The committee questioned  
21 whether this was really a case or not. It was a small

1 number of colonies identified on the plate and may have  
2 been a contaminant in the lab, but that couldn't be  
3 confirmed one way or the other. But technically, it  
4 did meet our primary case definition. So I've  
5 indicated with an asterisk.

6 Serologically, there were an additional two cases in  
7 the AP group and nine cases -- excuse me, an additional  
8 one case in four. What's shown on the lower -- in the  
9 denominator here is a cumulative tally. So this is  
10 this plus this. We had no additional cases in category  
11 two serologic cases. We had three and one, actually  
12 suggesting nonefficacy here, but the -- And category  
13 four -- And what I've just shown for the single high  
14 titer, again what's in the literature, I've just shown  
15 the data for the hepatitis control groups and I only  
16 show you this not to estimate efficacy, but it is used  
17 in our incidence estimates.

18 The point estimate of efficacy was 77 to 88. With  
19 these lower categories, it drops to 49 to 45. None of  
20 these are significant. If you include the one case,  
21 they just overlap zero, but there's obviously a strong

1 trend to protection. But they are significant if you  
2 eliminate that one case.  
3 Now, looking at the incidence, same table, but looking  
4 at the incidence, looking at the primary case  
5 definition, the other categories and high -- single  
6 high titer -- Again, there's no data that I'm showing  
7 you for AP group here. Let's forget the AP group  
8 because they are somewhat protected and focus on the  
9 control group. And you can see that our estimate of  
10 incidence, depending on case definition, is fairly  
11 tight. It's between 3.7 and 8.6 cases per 1,000 person  
12 years. That contrasts with two orders of magnitude  
13 higher incidence of cough illness or -- and I've  
14 projected these taking the U.S. population of 15- to  
15 65-year-olds, using these incidence rates, as to how  
16 many cases of pertussis occurred, not in children, but  
17 in individuals 15 years of age and older, an additional  
18 disease burden, and that somewhere between 674,000 and  
19 1,500,000 cases per year in the United States. I  
20 didn't present all the morbidity, but all of these  
21 cases had a prolonged cough and significant morbidity

1 associated with them.

2 Obviously, one of our limitations was number of cases,  
3 but I do think we were able to estimate incidence and  
4 at least trend on efficacy. This is an important  
5 slide. This is the same slide you've seen before, but  
6 now looking at the proportion of individuals with  
7 primary case definition -- It would be a little bit  
8 higher if I used the probable or suspect cases, but  
9 overall, looking at stratification of duration of  
10 cough, it varies from about one percent to six percent  
11 of those cough illnesses are due to pertussis. That  
12 would be the fraction that might be prevented with  
13 acellular pertussis vaccine.

14 Now, we have a number of analyses that are pending,  
15 particularly the single high titer, which will take us  
16 some time both for incidence and estimating efficacy.  
17 However, from the data I've seen on the probable cases,  
18 they tend to dilute the finding that they -- they tend  
19 to show less efficacy rather than more efficacy.  
20 There's a number of other issues relating to the  
21 serologic criteria, para-pertussis PCR on the specimens

1 we've collected; some CMI studies; doing survival  
2 analysis of efficacy; and importantly, I should present  
3 to this group the studies that are currently being  
4 conducted under company sponsorship of disease burden  
5 in cough illness which might be relevant to your  
6 decision. It seems to the investigators in the APERT  
7 trial that there's three general approaches that one  
8 can take probably for. The first option would be to do  
9 nothing and just continue an infant immunization  
10 program. Another option would be to routinely immunize  
11 adolescents at their middle school entry, at 10 to 12  
12 years of age, by incorporating AP into the small DTP  
13 recommended booster. Another approach would be to do  
14 that in addition to routine boosters in adults. And  
15 the third approach would be some combination of high-  
16 risk implementation. These would be older individuals  
17 that would have potentially some risk, either to  
18 themselves or to young children in their households.  
19 And one that's particularly interesting would be to  
20 immunize parents to protect their children, which has a  
21 number of connotations and implications to the ACIP.

1 Not only children and older siblings but perhaps  
2 grandparents or aunts or other members in the family  
3 where there are young infants that might be  
4 incompletely protected from pertussis. Similar  
5 thinking with day care center teachers and staff or  
6 medical personnel, nurses and doctors. I think one  
7 could justify, given the significance of the clinical  
8 and cost data, considering asthma, CF, and other  
9 cardiopulmonary or immunocompromising conditions and  
10 outbreak control.

11 I think the key variables that are needed to complete  
12 this analysis -- and there is an international group of  
13 economists working on this -- is, of course, the  
14 incidence of pertussis in older individuals, and I do  
15 believe that the APERT study has come up with the best  
16 estimate prospectively of the incidence of disease and  
17 the proportion of cough illness due to pertussis.  
18 APERT did not assess secondary risk, but there's a  
19 considerable amount of data in the literature looking  
20 at secondary transmission. We do have data on  
21 morbidity, duration of illness, and costs associated

1 with medical care and loss of work and other indirect  
2 costs. Although the efficacy was not significant,  
3 including the primary case definition, there's a very  
4 strong trend and the point estimates are consistent  
5 with the data in young children. And I can think of no  
6 reason why the efficacy would be any less in an adult  
7 than it would be in an unprimed child, but we cannot  
8 say anything about duration of protection.

9 Obviously, there's issues of cost of implementation and  
10 practicality and whether the public would accept such  
11 an issue. I just listed on this slide some of the  
12 considerations in this multi-national cost-benefit team  
13 that Glaxo SmithKline has put together to try and  
14 address these in a model that are being assessed from  
15 visits to indirect costs to secondary transmission  
16 issues, presupposing certain transmission rates and  
17 secondary prevention in the community.

18 So, in conclusion, I really have three sets of  
19 conclusions. First, epidemiologically, the incidence  
20 of cough illness is enormous in our population, but  
21 pertussis accounts for only one to seven percent of

1 that. The incidence of pertussis cough illness in  
2 adolescents and adults is between four and seven cases,  
3 depending upon which case definition one uses. They're  
4 fairly tight, I think, in that incidence rate. That I  
5 think is somewhat less and quite a bit less than has  
6 been reported in the hospitalized case reviews which  
7 used less stringent study criteria and were not  
8 prospective. They were retrospective assessments.

9 This does represent a significant disease burden if you  
10 are to project it to the whole population of close to a  
11 million cases per year.

12 Culture and PCR, under the best of circumstances, is  
13 relatively insensitive even in individuals at day five  
14 of cough, which implies that the infection may occur  
15 some number of days or even weeks prior to the onset of  
16 cough. And of course, we can't detect that clinically  
17 and that's a subject of a number of proposals being  
18 considered by NIH currently to do some human challenge  
19 studies to evaluate the natural path of physiology of  
20 pertussis, which is really not completely understood.  
21 I haven't gone into a lot of data on serologic

1 responses. It is complex because adults and  
2 adolescents are primed. They're not virgin to these  
3 antigens, and interpreting what is a specific and a  
4 nonspecific response is a little tricky and that has  
5 some implications diagnostically.

6 Now, with regards to safety and efficacy of the  
7 vaccine, I do believe this vaccine is safe for  
8 adolescents and adults. There were no serious AE's,  
9 and although we did find significant differences by sex  
10 and between the two study groups, I think they're all  
11 in a range of five, eight, ten percent, and none of  
12 them were severe in nature.

13 I think the trivalent AP vaccine reduces disease  
14 incidence, although our point estimate is not very  
15 precise. I have no reason to believe that this data is  
16 not totally compatible with the data in children,  
17 larger trials, and we have no doubt on duration of  
18 protection, nor do we have any data on secondary  
19 transmission.

20 Lastly, the implications that this committee will have  
21 to consider is the comparability of this data, which I

1 think is about the best that one can get from a  
2 clinical prospective trial -- I'm not sure what other  
3 types of trials could add much to this, but I think  
4 it's compatible, comparable, perhaps absolutely  
5 identical to the data in the seven infant trials that  
6 have been conducted previously. Immunizing adolescents  
7 and adults might not require much incremental costs.  
8 If it meant adding one antigen to a pre-existing  
9 vaccine, obviously it depends on the price of that  
10 vaccine. There is a detail cost-benefit analysis being  
11 done by economists in Europe, Canada, and the U.S. that  
12 has been pulled together by one of the companies, and  
13 there are several approaches that you could consider.  
14 First of all, I think there's -- if the marginal costs  
15 are small, I think routine adolescent immunization with  
16 a DTaP would be relatively easy and provide some  
17 significant benefit. Immunizing older family contacts  
18 of infants is something that might be very useful and  
19 could be justified to protect young infants who might  
20 contribute the majority of significant morbidity,  
21 hospitalization, and death.

1 And I think another target population of asthmatics,  
2 EF, immunocompromised, or other collections of -- is  
3 another strategy or some combination of these four.  
4 That's it. Thank you.

5 **DR. MODLIN:** Joel, thanks. Let's open this up for  
6 questions and comments from anyone. Walt?

7 **DR. ORENSTEIN:** Joel, from looking at your age  
8 distribution, you had quite a few patients that were  
9 fairly old. I am concerned that this illness has  
10 always been looking at younger groups. When you look  
11 at your nine cases, for example, in the hepatitis A  
12 group, what proportion of them would have been under  
13 20, or are they fairly evenly spread through the whole  
14 age spectrum?

15 **DR. WARD:** Well, in our primary analysis, it was nine  
16 and two, and they're fairly evenly spread, Walt. I  
17 would have to look at it. It was at my desk. I don't  
18 have a slide of it. They weren't all in the older -- I  
19 can --

20 **DR. ORENSTEIN:** Or younger, is what I was wondering  
21 about.

1       **DR. WARD:** There were cases in all of the age ranges.  
2       I don't know if nine or 11 cases -- There clearly  
3       wasn't occurring in just the adolescent or just in the  
4       mid-range or just in the elderly. There were cases in  
5       all three.

6       **DR. MODLIN:** Dr. France?

7       **DR. FRANCE:** Along those same lines, was there any  
8       lumping between the health care workers versus  
9       community workers, versus your third group, I think?  
10      So did more of the cases fall among health care workers  
11      than people who were enrolled from the community?

12      **DR. WARD:** I don't believe that there were. They were  
13      in all three groups also.

14      **DR. MODLIN:** Paul?

15      **DR. OFFIT:** Joel, would you -- do you have any data or  
16      do you care venture a guess on how long you think  
17      immunity, protective immunity, would last following a  
18      boost in adulthood or adolescence? If it were said  
19      another way, how many booster doses do you think would  
20      be required?

21      **DR. WARD:** Well, the data that we are collecting, and

1 it isn't fully analyzed yet, is we do have two years of  
2 data after immunization. So we have a good estimate  
3 over two years on the decay rate for each of the  
4 significant antigens by class, at least Gm -- Ga, and  
5 we are doing M now. So I will have that. There is a  
6 significant decay over a year in the data I've seen.  
7 You know, it's less than half, probably more in the  
8 range of 20 percent, and there is a difference by  
9 antigen. Some of the antigens decay much faster than  
10 others, but I need to pull that together and I don't  
11 have it today. But that would just be over a two-year  
12 period. I wouldn't have a ten-year period.

13 **DR. MODLIN:** Marty?

14 **DR. MYERS:** Two questions, Joel.

15 Did both vaccines contain alum adjuvant?

16 **DR. WARD:** Yes. And they were monovalent. They  
17 weren't -- It wasn't an aPDT. It was a monovalent --  
18 It was a trivalent aP product.

19 **DR. MYERS:** The second thing is a separate question. I  
20 know you excluded pregnant women from the study, but  
21 you also had 60 women who --

1 DR. WARD: Right. But --

2 DR. MYERS: -- had pregnancies. I was wondering, did

3 you have a chance to look at cord sera?

4 DR. WARD: No.

5 DR. MODLIN: Stan?

6 DR. PLOTKIN: Another vex question. Are you going to

7 have any analysis --

8 DR. WARD: I would expect nothing less from you.

9 DR. PLOTKIN: Sorry?

10 DR. WARD: I would expect nothing less from you.

11 DR. PLOTKIN: Well, I'll pass over that.

12 Are you -- Do you think you're going to have any data

13 on correlates or protection in your cases?

14 DR. WARD: Only anecdotally, only anecdotally by case.

15 I have looked at the onset of illness in time post

16 immunization and some of the cases occurred soon and

17 some of them occurred late. There was no pattern that

18 the pertussis cases occurred only late in the immunized

19 subjects. So we might have some anecdotal (inaudible),

20 not only by level of antibody but pattern of type of

21 antibody. And what we're trying to do and the reason

1 this will take another year is, obviously, there's  
2 125,000 assays required to draw a pedigrees, a decay  
3 pattern over two years for every subject and then look  
4 at the occurrence of cough illnesses, for example, in  
5 relation to that, as well as the pertussis cases by  
6 each of the six different diagnostic criteria. But  
7 statistically and epidemiologically and what we had  
8 hoped for, we had -- the study was designed with the  
9 anticipation that we would have as many as 40 cases.  
10 And even though the trial was extended an additional  
11 six months, in the primary case definition category, we  
12 really only have 11.

13 **DR. MODLIN:** Joel, you cast your net pretty widely by  
14 using a case ascertainment definition of cough for five  
15 days. I'm sure you well know some of the earlier  
16 studies say in emergency room settings and so on have  
17 used cough illness for two weeks as a -- not a case  
18 definition, but for screening purposes, for identifying  
19 cases. I guess the question is, for those that had a  
20 positive confirmed diagnosis, clinically, were they any  
21 different than -- did they tend to have longer duration

1 of cough? What I'm getting at is if you were to  
2 tighten up the case definition where it would make any  
3 difference in terms of outcome -- I suspect that it  
4 probably would not, but --

5 **DR. WARD:** It's hard to do what you're saying with only  
6 11 primary cases. But there is a tendency for these  
7 cases to be quite ill. I remember one 65 days of  
8 cough, another 45 days of cough, another 35 days of  
9 cough. Almost all of them were more than 14 to 21 days  
10 of cough. I was impressed with the duration of cough,  
11 the number of times that they went in for medical care,  
12 and some of them were treated. Actually, I don't have  
13 it off the top of my head, but I suspect at least half  
14 of them were treated with erythromycin or  
15 chlorythromycin. So these would be aborted cases. I  
16 think it is a significant illness, and that's something  
17 that will be looked at by this cost-benefit group to  
18 try and cost out and look at that, as well as data from  
19 the literature.

20 I guess I went into this trial not having been an  
21 exhaustive pertussis researcher, although I've always

1 enjoyed the topic. It's an issue of dogma, and dogma  
2 drives so much of our science and case definitions, and  
3 we try to attack that, both serologically as well as  
4 clinically, and I felt that the need for prospective  
5 evaluation, rather than fulfilling a presupposed idea  
6 that pertussis is x disease when there's almost no data  
7 anywhere in the literature and there's plenty of  
8 anecdotal data from trials where people are carrying  
9 the organism asymptotically and people don't know  
10 what proportion -- In fact, years ago, you know, it was  
11 thought -- there was no such thing as an asymptomatic  
12 carrier, but that's clearly not true. I thought -- And  
13 a lot of my dogma was rejected. I thought for sure the  
14 PCR, which was highly maximized for sensitivity, cross-  
15 checked with labs in Europe, would pick up a higher  
16 proportion of cases and it didn't.

17 **DR. MODLIN:** Yes, Natalie?

18 **DR. SMITH:** Joel, one question. Just what you said on  
19 PCR, without limiting the number of cases, could you  
20 comment on how much we can generalize that to public  
21 health practice? A lot of areas are moving to PCR only

1 and a lot of areas don't do serology at all.

2 **DR. WARD:** Well, nearly all -- except for that one  
3 case, all of the cultural-positive were PCR-positive.  
4 So it did detect the cases and there were no false  
5 positive PCR's in that there were no PCR-positives that  
6 had no serologic evidence of disease.

7 **DR. SMITH:** I guess on one side it said relatively  
8 insensitive culture --

9 **DR. WARD:** Only that it didn't -- it didn't bring the  
10 iceberg down --

11 **DR. SMITH:** Okay.

12 **DR. WARD:** -- and I didn't detect an additional 50  
13 percent more cases. That's what we were -- You have to  
14 understand that, you know, some of the literature sites  
15 15 to 35 percent of cough illnesses lasting 14 to 21  
16 days is due to pertussis. I personally do not believe  
17 that. And within my own investigative group, there are  
18 strong differences of opinion about this, and amongst  
19 the investigators. But our data, I think, is clear and  
20 irrefutable that it's a much smaller proportion.

21 **DR. MODLIN:** Further questions or comments? Yes, Dr.

1 Cheek?

2 **DR. CHEEK:** Jim Cheek.

3 It seems like one of the things that I'm faced with,  
4 and I think a lot of the state people working out in  
5 the field are faced with, is a community-wide-type  
6 outbreak that comes and it lasts for weeks and weeks  
7 and it may have been going for three or four months  
8 before we ever even hear of it. And this is the thing  
9 that happened -- In fact, just at lunch today, I got an  
10 e-mail about a new pertussis outbreak that's just  
11 starting in one of our reservation communities. And  
12 I'm wondering if that might be a setting that it would  
13 be useful to try this as a control measure or whether  
14 it would be possible to even measure efficacy in such a  
15 setting as that.

16 **DR. WARD:** Well, that's why I included it on this slide  
17 of targeted high-risk populations because I was aware  
18 of those occurring. I don't know how you could study  
19 that prospectively because you would have to immunize  
20 different populations with different strategies and  
21 then wait for that outbreak to occur to assess it. So

1 it would be a tough thing.

2 **DR. MODLIN:** Dr. Severyn?

3 **DR. SEVERYN:** Dr. Kristine Severyn, Vaccine Policy  
4 Institute.

5 Dr. Ward, if you tease out the smokers, do you see any  
6 difference in efficacy between the hepatitis A and the  
7 pertussis groups? Because you were talking about that  
8 the smokers confounded the results.

9 **DR. WARD:** Yes. What they confounded was the  
10 occurrence of cough, not the occurrence of pertussis.

11 **DR. SEVERYN:** Okay. And you don't have any data on  
12 what the pertussis incidence was with no vaccine, that  
13 is, no hepatitis A or --

14 **DR. WARD:** That's what I presented to you.

15 **DR. SEVERYN:** Okay.

16 **DR. WARD:** All of the data that I presented to you  
17 today was from the control group. I purposely did not  
18 show you the incidence data from the vaccinated group  
19 because it's somewhat less, and I thought since that's  
20 a blinded non-immunized group, that that would be an  
21 appropriate --

1       **DR. SEVERYN:** I guess maybe -- Please forgive me, but  
2       the control group was a group that received hepatitis A  
3       vaccine, right?

4       **DR. WARD:** And not pertussis.

5       **DR. SEVERYN:** Correct. And then one group received  
6       pertussis vaccine. You do not have a group that  
7       received no vaccine that you looked at pertussis  
8       incidence -- pertussis disease incidence?

9       **DR. WARD:** What would your thinking be about how  
10      hepatitis A might --

11      **DR. SEVERYN:** Well, we talked -- I don't want to beat a  
12      dead horse here, but we talked last meeting about the  
13      problems with running vaccine studies with actually no  
14      control groups, where you run -- the control group is  
15      actually a vaccinated group but another vaccine. So  
16      the point is, we really don't have any data on what the  
17      incidence of pertussis would have been --

18      **DR. WARD:** The reality is that most people don't want  
19      to enter into trials that they don't perceive some  
20      benefit. And we did -- we had an independent committee  
21      to pick the vaccine and to pick the control. The

1 investigators did not pick them and there were pilot  
2 studies doing testing of potential recruits as to what  
3 it would take to maximize recruitment, and that was a  
4 requirement. And there's certainly no scientific data  
5 that I'm aware of to think that hepatitis A would, in  
6 any way, influence the incidence of pertussis in a  
7 blinded trial.

8 **DR. SEVERYN:** Thank you.

9 **DR. MODLIN:** Bill?

10 **DR. BRUNELL:** Joel, I would like to ask one other  
11 question about your data and the periodicity of  
12 pertussis.

13 If you go back to the Massachusetts data in '93 and  
14 '94, they had quite a blimp in their cases. I don't  
15 want to get into their data and your data. I also want  
16 to congratulate you on doing a fabulous study. But in  
17 these communities, was there any epidemic pertussis at  
18 anytime and could you comment, in general, on how the  
19 periodicity of pertussis may impact the study? You're  
20 taking a relatively short interval of time to do your  
21 study and you may not have gotten into an epidemic

1 period.

2 **DR. WARD:** There were -- We were hoping for an  
3 epidemic, we prayed for an epidemic --

4 (LAUGHTER)

5 **DR. WARD:** -- and we had projected that an epidemic  
6 would occur based upon a three- or four-year cycle and  
7 when our study would occur and some of our communities,  
8 including California, did have an epidemic coincident  
9 with terminating this trial. We would be happy to  
10 have had more cases by virtue of an epidemic, but we  
11 didn't observe that in any of the study sites, although  
12 they were not under active surveillance. This  
13 represents the cases in time and what you can see is  
14 the date of the confirmed cases in red, and this is  
15 time and date. So you can see the cases -- This is  
16 anecdotal because we -- you have to throw out these  
17 bottom two, but you can see they're occurring at all  
18 times of the year, at least anecdotally, and they were  
19 also looking at the interval from the time of  
20 immunization, which is in the blue, to the time of  
21 onset of disease, and here's a case about six weeks

1 later and then obviously here's a case two years later.

2 So there's really no clear pattern.

3 So, at least in this small number of primary cases in  
4 adults, it doesn't seem to be a striking season. I  
5 don't know, but maybe you could say there's some  
6 cluster here which is between July and February. We'll  
7 look at that in some more detail.

8 **DR. BRUNELL:** Talking about periodicity, in terms of  
9 three- and four-year cycles, you happened to get in  
10 between, but what you're saying is that some of these  
11 communities actually did have --

12 **DR. WARD:** We asked the investigators to be in league  
13 with the public health officials, and actually, the  
14 rationale for extending the trial the extra six months  
15 was that Dr. Cherry and a number of other investigators  
16 were absolutely convinced that there was a going to be  
17 an epidemic in the fall because a number of studies  
18 have implied a fall peak incidence. So it was extended  
19 from August till January of that final year, but there  
20 were, as you can see, only one additional case.

21 **DR. MODLIN:** Rich, I guess the question is, what is the

1 next step now that we have data from the trial? It  
2 probably would be worthwhile spending a minute or two  
3 discussing that with you and the adult working group  
4 and with the other members of the Committee. Maybe  
5 I'll start with your thoughts. Joel has presented some  
6 thoughts, some options.

7 **DR. CLOVER:** Well, Joel promised me all the answers.  
8 I think there are several things. The Committee talked  
9 briefly yesterday about taking the data that Joel  
10 presented and working through it. I mean, I think  
11 there are some issues of note. One is the projected  
12 annual incidence of this disease. The Committee has  
13 interest or concern about the data that CDC has with  
14 regard to the infant cases that seems to be occurring  
15 from parents in the household, being transmitted to  
16 them, and you know, unfortunately, this study was not  
17 designed to look at transmission within -- within  
18 households, but I think that's an issue that we've got  
19 to address.

20 We would be interested in the cost analysis as well.  
21 But I think it's up to the Committee to digest this and

1 think through it before I can make any other  
2 recommendations.

3 **DR. MODLIN:** Yeah. Joel, do you think the plan cost  
4 analyses will be done in the next couple of months or -  
5 - I'm just trying to get a sense of what -- not  
6 pressing you to do them but, on the other hand, get a  
7 sense of where we should be putting this on our  
8 timetable.

9 **DR. WARD:** I've been impressed that this is an  
10 international interest. There's a group in Europe that  
11 is very focused on whether they should implement -- I  
12 think one country, Germany, had implemented routine  
13 adult or adolescent immunization. Indeed, I think Ciro  
14 is gone, but I think that there is some question about  
15 whether it should be implemented in Latin America also  
16 and the Canadians, of course, have always had a strong  
17 focus on pertussis given their disease burden in the  
18 past.

19 So there are groups independently that Glaxo SmithKline  
20 -- Is that right? That's a new name -- linked together  
21 and they're trying to review the literature, do some

1 modeling, pull data from APERT to come up with some  
2 projections and I think -- Could you comment on what  
3 the time frame of that --

4 **DR. HOWE:** I would think that -- Barb Howe from Glaxo  
5 SmithKline.

6 I think that we would probably have that in time for  
7 the fall meeting rather than during the summertime. So  
8 I wouldn't target before then for presentation of this  
9 data.

10 **DR. MODLIN:** Since the adult working group is meeting  
11 on a pretty regular basis, at least by phone, I think  
12 it might this is something to add to the agenda to move  
13 along, as I'm sure you're doing already. And maybe we  
14 should -- we can touch base, but whether or not we  
15 ought to have some initial thinking about this on the  
16 June agenda -- Already the October agenda is beginning  
17 to fill up, but we need to think about this a little  
18 bit more --

19 **DR. WARD:** It might be possible --

20 **DR. MODLIN:** -- but we do want to keep the issue --  
21 Well, I'm trying to get a sense of how we keep this

1 issue in front of us.

2 **DR. WARD:** It might possible to not do things in series  
3 but in parallel such that the Committee -- I think  
4 Hughes Bogart [phonetic] is the coordinator for that  
5 group and you might want to contact him and see where  
6 they're going and what data is being developed. I  
7 mean, you could do your own independent assessments. I  
8 think there's just four or five key assessments. You  
9 would do those assessments and you can, I think, come  
10 up with your own answers from a non-economist saying  
11 that.

12 **DR. MODLIN:** Trudy?

13 **DR. MURPHY:** Yes. The working group may already know,  
14 but CDC is planning studies looking at the source of  
15 disease in infants and also some cost studies -- burden  
16 of disease.

17 **DR. MODLIN:** Okay. Melinda, do you have anything else  
18 to add?

19 **DR. WHARTON:** No. We just wanted to make sure that the  
20 Committee was aware that we are planning studies  
21 focused on looking at the cost of disease, primarily

1 for pertussis generally, but with the hope we get some  
2 information about adolescent and adult cases, as well  
3 as to explore further the risk factors for disease  
4 among young infants. It's actually been quite  
5 difficult in the routine surveillance data to ascertain  
6 source of infection. When one can't ascertain it, it  
7 frequently is a household member or other family  
8 member. But, you know, in a fair proportion of cases,  
9 in fact, we can't identify the source of infection. So  
10 we are planning a risk factor study.

11 **DR. MODLIN:** Bob?

12 **DR. CHEN:** Joel, in the recent NIH pertussis meeting,  
13 several of the European infant AP trials managed to do  
14 some type of long-term follow-up for efficacy. Is  
15 there some way to continue monitoring for efficacy even  
16 though the trial has officially ended?

17 **DR. WARD:** No. I'm afraid it won't be possible to do  
18 that. I think it -- it was such an intensive  
19 prospective that required collection of specimens and  
20 clinical evaluations and they were really a recruit  
21 population as opposed to a captured HMO or a database

1 that you could monitor. I suspect one could do phone  
2 calls or track back to them, but you wouldn't have any  
3 microbiology or serology, although you might be able to  
4 collect a later blood and compare it to the last one in  
5 the study. It would be an order of magnitude  
6 difference in the quality of kind of study. So nothing  
7 -- there hasn't been any discussion about that.

8 **DR. MODLIN:** Joel, thanks very much. Let's go onto the  
9 next item on the agenda which will be an update on hep  
10 A vaccine activities. Is Dr. Bell -- There she is.  
11 She'll be leading the discussion.

12 Just to remind everyone that we did make a change in  
13 our hepatitis A immunization, a major change. We made  
14 a major change about a year and a half ago. And I  
15 assume, Beth, this is an update on where -- what the  
16 impact has been so far?

17 **DR. BELL:** Good afternoon.

18 As Dr. Modlin says, what I would like to do this  
19 afternoon is take a little bit of time to give you an  
20 update on where we are with hepatitis A vaccination and  
21 also with hepatitis A incidence and try to give you a

1 sense of potentially what the impact of recommendations  
2 for routine hepatitis A vaccination have been.

3 Just to remind everyone, our strategy has been for  
4 incremental implementation of routine hepatitis A  
5 vaccination of children, beginning with the ACIP  
6 recommendations in 1996, for a vaccination of children  
7 living in so-called high-rate communities such as, for  
8 example, the American Indian and Alaskan Native  
9 communities, and continuing in the recommendations in  
10 1999, extending routine vaccination of children to  
11 those living in states and communities with  
12 consistently elevated of hepatitis A, with the idea  
13 eventually that we might be moving towards vaccination  
14 of infants nationwide. So what I would like to do is  
15 spend a little bit of time talking about routine  
16 vaccination of children living in high-rate communities  
17 and then routine vaccination of children living in  
18 these areas with consistently elevated rates.

19 As a reminder, the ACIP in 1996 recommended that  
20 children living in high-rate communities should be  
21 routinely vaccinated at or after two years of age and

1 that there should be catch-up vaccination with priority  
2 given for children before school entry and finishing  
3 this catch-up vaccination within five years of  
4 implementation.

5 Over the last year or so, we've been surveying and  
6 doing a number of studies to try and get a sense of  
7 what's been going with hepatitis A vaccination in these  
8 high-rate communities, and I'd like to show you some  
9 data from American Indian and Alaskan Native  
10 communities as an example of these high-rate  
11 communities. This was a survey that we did in 1999 in  
12 collaboration with the Indian Health Service of  
13 providers at all Indian Health Service facilities in  
14 the United States. And of the 79 facilities that  
15 responded, 92 percent reported providing vaccination to  
16 preschool-age children; 64 percent reporting providing  
17 vaccination to school-age children; and we asked the  
18 providers to estimate their coverage of preschool-age  
19 children, which they fixed at about 60 percent.

20 Now, this last summer, also, once against, in  
21 collaboration with the Indian Health Service, we

1 reviewed charts of almost 2,000 children from all the  
2 Indian Health Service facilities and a large  
3 reservation in the southwest in order to determine  
4 hepatitis A vaccination coverage of children aged four  
5 to seven years. And as you'll note here, if you first  
6 look at the first column, of the -- of the 1,900 or so  
7 charts that we reviewed, 79 percent of children had  
8 received at least one dose of hepatitis A vaccine. 53  
9 percent had completed the series. We also looked at  
10 the proportion of children that have received their  
11 first dose of vaccine by 36 months as a sort of  
12 indicator of timeliness of vaccination, and if you look  
13 across this row, you'll notice that the younger  
14 children, in other words, the four-year-olds, 61  
15 percent of them had received their first dose by 36  
16 months of age, suggesting that hepatitis A vaccination  
17 is being incorporated into routine well child care in  
18 these facilities on this reservation.

19 Now, one of the obvious things that we are most  
20 interested in is how is this reflected in disease  
21 incidence, and I would like to show you a number of

1 slides of surveillance data, which addressed this  
2 question.

3 This is hepatitis A incidence actually in the counties  
4 that include the majority of this reservation from --  
5 in which we just did this coverage survey which showed  
6 80 percent coverage among four- to seven-year-olds.  
7 And you'll notice that in this community, beginning in  
8 the late 1980's, there were these two very large  
9 community-wide outbreaks with an interepidemic period  
10 of approximately five years. Should we -- If we were  
11 to assume a similar outbreak with a similar  
12 interepidemic period, we would have expected to start  
13 to see an upswing in cases here in 1999 and 2000. And  
14 in fact, we see this continued decline in the number of  
15 cases and, actually, there were only two cases reported  
16 from this entire area in 2000 using the provisional  
17 data.

18 Now, we wanted to look at this on a somewhat larger  
19 scale and the next couple of slides illustrate that.  
20 This is American incidence -- hepatitis A incidence  
21 among American Indians and among non-American Indians

1 living in 15 rural counties in the United States that  
2 include reservation communities. If you first look at  
3 this figure in the lower left-hand corner of the slide,  
4 you'll notice that in the early 1990's through the mid-  
5 1990's, American Indian cases shown by the yellow line  
6 here were significantly higher than non-American Indian  
7 cases shown in the pink line, with the difference in  
8 rates reaching many-fold during an outbreak time, but  
9 even during this time period, this is a difference of  
10 something like 70 per 100,000 compared to 10 or 12.  
11 If you now just turn your attention to the upper figure  
12 in the slide, which just takes 1996 to 2000, putting it  
13 on a different scale, you notice this precipitous  
14 decline in American Indian cases beginning in -- with  
15 1997 and continuing through 2000 such that during these  
16 last few years, the hepatitis A incidence among  
17 American Indian in yellow has been below that of non-  
18 American Indians living in the same communities. This  
19 represents a rate of one per 100,000 compared with 14  
20 per 100,000. This is a phenomenon that I don't think  
21 that we've observed during the time that we've been

1 keeping track of such things.

2 Similarly, we looked at incidence among American Indian  
3 and non-American Indian residents of five large urban  
4 counties that include fairly large American Indian  
5 populations and we essentially see a similar trend,  
6 which maybe is not quite as dramatic, but nonetheless  
7 is telling us the same story: much higher rates among  
8 American Indians in the early 1990's, with this  
9 precipitous decline in the late 1990's, and provisional  
10 data from 2000, rate among American Indians in these  
11 cities is three per 100,000, six for non-American  
12 Indians.

13 Just one more way to look at this. This is overall  
14 hepatitis A incidence in the United States and among  
15 American Indians during this same time period. The  
16 United States is in pink, once again American Indians  
17 and Alaskan Native are in yellow. And we see this drop  
18 in hepatitis A rates. And in 2000, overall, the  
19 overall rate among American Indians was lower than the  
20 average over our U.S. rate for the country.

21 So, in conclusion, I think that these data have shown a

1 dramatic decline in hepatitis A rates among American  
2 Indian and Alaskan Native populations, in fact,  
3 transforming in a certain way the epidemiology of  
4 hepatitis A in these populations. Now, clearly, we  
5 need a few more years of data to put this into context  
6 given the cyclicity and periodicity of hepatitis A  
7 incidence, but I think that some of this information is  
8 quite compelling. We've seen a decrease in both urban  
9 and rural reservation areas, although perhaps more  
10 marked in rural areas. We've seen that children using  
11 -- at least Indian Health Service facilities are  
12 getting vaccinated, although I think that there's a  
13 need for additional coverage surveys. And we certainly  
14 need better information from non-Indian Health Service  
15 facilities, realizing that 50 percent of American  
16 Indians are not cared for in Indian Health Service  
17 facilities and live in urban areas and also from other  
18 high-rate communities.

19 Now I would like to turn our attention to the second  
20 phase of this incremental implementation of routine  
21 hepatitis A vaccination of children and just review the

1 epidemiologic foundation of this strategy, which was  
2 based on our observation that specific states and  
3 counties could be identified that had consistently  
4 elevated rates of hepatitis A and that disease from  
5 these areas accounted for the majority of reported  
6 disease and that our surveillance data indicated that  
7 these elevated rates persisted over time.

8 On this county-based map of hepatitis A incidence here,  
9 what we have done is calculate the number of years  
10 during this period of 1987 to 1997 when the rate in the  
11 county exceeded the U.S. average of approximately ten  
12 cases per 100,000 population. And these sort of data  
13 formed the basis for the ACIP recommendations in 1999.

14 You can see that the areas with these elevated rates  
15 are clustered in the western and southwestern part of  
16 the country.

17 So by way of review, the 1999 recommendations called  
18 for routine vaccination of children in states and  
19 communities where the average annual hepatitis A rate  
20 during this time period was at least twice the national  
21 average and for consideration of this routine

1 vaccination in areas where the rate was above the  
2 national average but less than 20.

3 The other point actually to be made here is that these  
4 recommendations were approved for use of vaccines for  
5 children in the VFC program in 1999 and that was what I  
6 was going to show on the next slide, data that were  
7 provided by the National Immunization Program. It  
8 shows the number of pediatric hepatitis A vaccine doses  
9 purchased through the National Immunization Program by  
10 year from 1996 to 2000, and you'll note this large  
11 increase in the number of doses in 1999 coincident with  
12 the extension of the children for whom VFC vaccine  
13 could be used and an even larger increase in the number  
14 of doses purchased in 2000. This is almost three  
15 million doses of hepatitis A vaccine purchased from the  
16 National Immunization Program in 2000. The vast  
17 majority of this vaccine was purchased through the  
18 Vaccines for Children program.

19 Now, the 1999 recommendations on the statement did have  
20 a few things to say about implementation, and I wanted  
21 to review those with you for a moment. The statement

1 suggested that in states with rates at least twice the  
2 national average that there should be routine  
3 vaccination of children statewide, that in the states  
4 with rates less than twice the national average, there  
5 needed to be some discussion of what the most feasible  
6 way to implement routine vaccination of children might  
7 be in view of the epidemiology. And the statement was  
8 quite permissive in terms of the types of strategies  
9 that might be used to implement routine vaccination,  
10 including vaccination of children or adolescents, one  
11 or more single-age cohorts vaccination in selected  
12 settings such as day cares, or just vaccination of  
13 children when they appeared for routine health care.  
14 On this map is shown the states that fell into these  
15 various categories. Shown in red are the 11 states  
16 with rates at least twice the national average during  
17 this time period; and shown in blue are the additional  
18 six states with rates that fall within this 10-to-20-  
19 per-100,000 category. And I was going to show those  
20 data about vaccine doses purchased according to these  
21 11 states and then these 17 states, keeping in mind

1 that the VFC program will cover routine vaccination of  
2 children living in these areas.

3 As I say, these are the same data, and the message here  
4 essentially is that there is large increase in the  
5 number of vaccine doses purchased in 2000 and that  
6 essentially all of the vaccine that's -- pediatric  
7 vaccine that's being purchased is being used by these  
8 17 states covered by the recommendations. The rest of  
9 the United States, this is 150,000 doses or less.

10 Last summer, we surveyed all the state health  
11 departments to ask them what they were doing about  
12 hepatitis A vaccination. I've summarized some of the  
13 information from the 17 states that were included in  
14 the '99 recommendations on this slide. You'll note  
15 that 15 of the 17 states were making provisions for  
16 providing hepatitis A vaccine for routine vaccination  
17 of children in some fashion or another in their state.

18 And this was primarily what the states reported, that  
19 they were making it available to VFC providers through  
20 the VFC program. In nine of these states, vaccine was  
21 available through the VFC program statewide. In the

1 other states, there were various methods being used for  
2 focusing these efforts primarily related to identifying  
3 counties or other geographic areas with rates that were  
4 above the rest of the state.

5 Five of the states reported that they were specifically  
6 targeting a particular age group and this primarily  
7 involved children two to five years of age or children  
8 in day care. And in three areas, there were some other  
9 methods mentioned particularly targeting areas with  
10 large American Indian populations. There were four  
11 areas that reported a requirement for hepatitis A  
12 vaccination. This includes the state of Oklahoma, the  
13 state of Alaska, and a day care requirement in one  
14 area, and a requirement limited to certain counties in  
15 another state.

16 So what has been going on with hepatitis A incidence in  
17 the country in the face of the amount of hepatitis A  
18 vaccination that's been going on in these 17 states in  
19 response to the 1999 recommendations? Well, this is  
20 one way to look at this. This left-hand figure here  
21 shows hepatitis A incidence starting a very long time

1 ago. And you notice there are these periodic outbreaks  
2 occurring the 1950's, '60's, and early 1970's. I've  
3 taken the incidence from 1980 to 2000 and put it on  
4 this upper slide to make clear that's been going on  
5 with the different scale. You notice there is this  
6 peak in 1989 and then another smaller peak in 1995 to  
7 1997. And since then, we've seen this precipitous drop  
8 in hepatitis A incidence to levels that are well below  
9 historic averages. The 1999 rate was 6.2 per 100,000  
10 and the provisional rate for the year 2000 is 4.5. The  
11 lowest rate ever reported in the United States  
12 previously was a rate of 9.1 in 1992.

13 Looking at this a little bit more closer, I've  
14 calculated the average hepatitis A incidence rate in  
15 the 11 states in which routine vaccination of children  
16 was recommended statewide, and we see more or less a  
17 similar story here, peak in 1989, smaller increase in  
18 the early to mid-1990's, and this sort of fairly marked  
19 downward trend beginning in 1998 with a rate of 8 point  
20 -- I don't remember -- 2 or 3 in 1998, and falling to a  
21 rate of five in the year 2000 in these 11 states. And

1 this difference is even more remarkable. This rate of  
2 five should be contrasted with the previous low in  
3 these 11 states of approximately 20 per 100,000.

4 I wanted to just spend a couple of moments on a  
5 demonstration project which gives us a little bit of a  
6 snapshot into what we might expect in the next few  
7 years. This is a demonstration project which provides  
8 us with the longest period of follow-up with routine  
9 children hepatitis A vaccination. This is a  
10 demonstration project that was carried out in Butte  
11 County, California, from 1994 to '95 to 2000. In this  
12 demonstration project, we vaccinated children ages two  
13 to 12 years old which, at the time we began the demo  
14 project, was approximately 30,000 children in a county  
15 with a population of approximately 200,000. The  
16 project featured providing free vaccine to all  
17 providers in the county and available to all children  
18 regardless of whether they were VFC providers or VFC-  
19 eligible. Vaccination occurred both in provider  
20 offices and particularly at the beginning of the  
21 demonstration project in school-based clinics. The

1 county maintained a vaccination registry which provides  
2 a fairly accurate minimum estimate of vaccination  
3 coverage, and also the county has been conducting  
4 active surveillance, including laboratory-based  
5 surveillance for hepatitis A cases in the county.

6 The 2000 vaccination coverage was 62 percent for the  
7 first dose and overall 40 percent vaccination coverage  
8 in this target population which aged with the  
9 demonstration project. So by 2000, it included  
10 children ages two to 17 in 2000.

11 Here is hepatitis A incidence in Butte County. You  
12 notice that Butte County also has periodic outbreaks,  
13 but the interepidemic period is longer than what we  
14 have seen in some American Indian communities. This  
15 interepidemic period is approximately ten years or so.

16 The vaccination program was begun in the middle of  
17 1994, and since 1997, we've seen this drop in the  
18 number of cases in Butte. There was one case -- no,  
19 two cases reported in 1999 in Butte County and four  
20 cases reported in 2000. This -- These rates, '98  
21 through 2000, are the lowest rates that Butte County

1 has ever seen.

2 Now, in interpreting the meaning of these kinds of  
3 epidemiologic pattern was confounded by this fact that  
4 we don't know whether this is just the bottom of an  
5 interepidemic period or represents a true change in the  
6 epidemiology of the disease, and I don't think that  
7 we're going to be able to answer that definitively in  
8 Butte County even now. These data, however, I think  
9 are somewhat interesting in that regard. Hepatitis A  
10 incidence in Butte County -- in two contiguous counties  
11 right next to Butte, Sutter, and Yuba counties, and  
12 then over on the state of California, in 1996, a year  
13 and a half or so after initiating the demo project and  
14 then in 2000, in 2000, the rate in California was 9.2.

15 This rate of 1.9 in Butte County in 2000 is not only  
16 the lowest rate ever reported from Butte County, but  
17 also was the lowest rate of any county in the state of  
18 California in the year 2000.

19 So, in summary, I think that national hepatitis A rates  
20 are at historic lows, but we need to monitor this to  
21 put it into some kind of context because of the well-

1 recognized cyclicity of hepatitis A incidence in the  
2 country. The ACIP recommendations are being  
3 implemented and we've seen considerable progress with  
4 areas using many strategies primarily involving  
5 voluntary measures. We certainly need continuing  
6 evaluation to see who's doing what and what's working  
7 and what's not working.

8 The thing challenge over the next few years is going to  
9 be to sustain ongoing vaccination in the face of  
10 falling rates. We've found, speaking to parents,  
11 speaking to health departments, speaking to providers,  
12 that one of the most important determinants of interest  
13 in hepatitis A vaccination and acceptance of it is how  
14 much disease there's been in the area in the recent  
15 past. And as the rates drop, I think this is going to  
16 become much more of a challenge.

17 I just wanted to take one moment to look farther,  
18 farther into the future and say one or two things about  
19 our longer-term hepatitis A prevention strategy. I  
20 think we're likely to see continuing lowering of  
21 disease incidence as we essentially interrupt household

1 and extended-family-setting transmission by essentially  
2 catch-up vaccination of children in adolescence. But I  
3 think we've already seen in Butte County, and actually  
4 in many other places, that transmission between adults  
5 and high-risk groups can be sustained quite happily  
6 without involving children in this transmission at all.

7 And there's always other forms of transmission, food-  
8 borne transmission, for example. So I think that if we  
9 do get to a point where we want to further reduce  
10 incidence or even eliminate transmission, we're going  
11 to eventually have to address the issue of vaccination  
12 of adults and high-risk groups and truly implement  
13 routine vaccination of infants and young children,  
14 which is going to require us to have a vaccine that can  
15 be used in the first year or two of life.

16 Thanks.

17 **DR. MODLIN:** Beth, thanks very much. It's always nice  
18 to hear good news.

19 Let's open this up for comments and for questions. I  
20 would assume that the incidence of disease that has  
21 dropped over the last few years has been mostly in

1 adults, although you really didn't present any specific  
2 -- age-specific data.

3 **DR. BELL:** Yeah. Actually, the incidences dropped in  
4 all age groups.

5 **DR. MODLIN:** All age groups. Rich?

6 **DR. CLOVER:** In follow up to your question as it  
7 relates to the incidence in adults, do you have any  
8 numbers on the percent of adults who have been  
9 vaccinated either because they're in a high-risk group  
10 or just because of international travel?

11 **DR. BELL:** No. It is really hard to get a sense of  
12 that at all. I will say that in a number of outbreaks  
13 -- And we've been involved in outbreaks among adults,  
14 men who have sex with men, users of illicit drugs -- we  
15 have, in general, found vaccination coverage to be  
16 appallingly or extremely low and it's been quite  
17 difficult for communities to find strategies to improve  
18 that. So, for example, we investigated an outbreak  
19 among men who have sex with men a couple of years ago  
20 and we did a case-control study, and we asked the  
21 controls about hepatitis A vaccination and it was, you

1 know, maybe two percent of them that said that they had  
2 been vaccinated. It was interesting because the vast  
3 majority of them did have a provider -- did see the  
4 provider at least once a year, had even disclosed their  
5 sexual preference and said they would have been quite  
6 happy to have received hepatitis A vaccination if it  
7 had been offered to them. I think that there are a  
8 fair number of adults that are getting vaccinated in  
9 travel clinics, but I think that most of the adults  
10 that are getting vaccinated are travelers.

11 **DR. MODLIN:** Yes, Dr. France?

12 **DR. FRANCE:** You showed us an interesting slide with  
13 the reduction in the nation of hep A incidence and then  
14 the specifically the 11 states. If you looked at the  
15 33 states where there isn't much recommendations on  
16 using them, is there also a decline?

17 **DR. BELL:** There is a small decline but, you know, in  
18 general, there isn't much disease in those areas. So  
19 there's a -- there kind of a lot more year-to-year  
20 variation. It's not very remarkable.

21 **DR. MODLIN:** Stan?

1       **DR. PLOTKIN:** This is a theoretical issue but, of  
2       course, very practical in a sense. Are you doing  
3       seroprevalence studies and modeling in terms of  
4       estimating the possible increased risk for adults as  
5       you partially vaccinate the child population? I mean,  
6       I think it's remarkable that with 60 percent coverage  
7       you seem to have more or less interrupted transmission,  
8       and that may be sufficient, but have you considered  
9       doing additional studies on that point?

10      **DR. BELL:** Well, I think first of all, maybe with 60  
11      percent coverage I'm not sure that we have completely  
12      interrupted transmission. You know, I presented some  
13      of those data from Butte a while back and actually what  
14      we saw, particularly in '95 to '97, was a marked  
15      decrease in rates in the vaccinated age groups and not  
16      as much of a decrease in rates among adults. And  
17      actually, what we were seeing was an outbreak that was  
18      involving adult-to-adult transmission among users of  
19      illicit drugs.

20      So I think as I was trying to say, I don't think that  
21      we have completely interrupted transmission by this

1 kind of vaccination. Certainly, I think that the issue  
2 that you raise is a very important one and we have kind  
3 of ongoing national prevalence surveys certainly and  
4 have been thinking about doing some prevalence surveys  
5 in some of these areas where a lot of this vaccination  
6 has been occurring.

7 **DR. PLOTKIN:** Well, actually what you just said  
8 disturbs me more, because if you have an interrupted  
9 transmission, then the possibility of augmenting  
10 seronegativity in adults does become very concrete.  
11 And there are several ways of handling that, including  
12 perhaps trying to get the states to mandate vaccination  
13 of children so that you have further decreases.

14 **DR. BELL:** I think, you know, maybe it's important to  
15 put this a little bit on context. The prevalence of  
16 anti-HIV in the population in this country is -- I  
17 mean, the average prevalence is 31 percent according to  
18 NHANES III, and the majority of change that we see in  
19 prevalence by age group is really attributable to a  
20 cohort effect and involves infection that occurred in  
21 early children.

1 So I think that it's going to -- that there is a huge  
2 susceptible population of adults in this country,  
3 regardless of whether our rate is 20 or our rate is  
4 four, and I don't know that given how far down our  
5 incidence rate has fallen in this country over the last  
6 50 years that this kind of phenomenon that you are  
7 talking about is really going to be a major issue.

8 **DR. MODLIN:** Other questions or comments? Dr. Severyn?

9 **DR. SCHAFFNER:** Do we have any more information -- Bill  
10 Schaffner.

11 Do we have any more information about the progress to  
12 licensure of combined hepatitis A, hepatitis B vaccine?

13 **DR. BELL:** Perhaps somebody from the industry would  
14 like to comment about that.

15 **DR. MODLIN:** Karen, I assume you're got the same  
16 comment.

17 **DR. MIDTHUN:** Yeah. I think maybe just to add some  
18 clarification earlier, when perhaps some of you don't  
19 understand perhaps some of the things I can or cannot  
20 comment on, and maybe I just give that a little bit of  
21 clarity. I'm really not able to comment on the absence

1 or presence of files that we're looking at. I just  
2 can't acknowledge them one or another. So when I say I  
3 can't comment in many instances, that's the reason for  
4 that. So I thought that might be helpful. And I  
5 really can't comment on this particular instance.

6 (LAUGHTER)

7 **DR. MODLIN:** Dr. Severyn?

8 **DR. SEVERYN:** Dr. Kristine Severyn, Vaccine Policy  
9 Institute.

10 Could you comment, please, on the cost-benefit ratios  
11 with regard to the use of hepatitis A vaccine? I'm  
12 recalling an article from *British Medical Journal*  
13 within the last couple of years. I don't have the  
14 date. I could share it if you're interested. But it  
15 specifically says that the use of hepatitis A vaccine  
16 in travelers is not cost -- it doesn't have a good  
17 cost-benefit ratio. In fact, you lose money giving  
18 hepatitis A vaccine to travelers. It's basically just  
19 not worth it, according to the study.

20 **DR. BELL:** Well, there have been a number of cost-  
21 effectiveness and cost-benefit analyses of hepatitis A

1 vaccination. Among travelers, there have been a lot of  
2 them, and I think that the message overall, if you look  
3 at the sum total of these studies among travelers in  
4 general, the conclusion has been that it is fairly  
5 cost-effective, but there are a number of determinants,  
6 including the frequency of travel, where the person is  
7 travelling to, and how often -- how long they're going  
8 to be gone for.

9 So, as I say, I think there have been a lot of studies  
10 on that topic. We have presented data about the cost-  
11 effectiveness of routine vaccination here and there.  
12 Actually, there is a paper that was published by Jake  
13 Jacobson and Hal Margolis and our group on that topic,  
14 and Jake actually is going to speaking shortly, and  
15 these papers have concluded that the cost-benefit  
16 profile for hepatitis A vaccination using this kind of  
17 strategy is quite favorable.

18 **DR. SEVERYN:** I'll check out the papers. Off the top  
19 of your head, do you know if it would include -- was it  
20 medical costs or was it this thing about mother staying  
21 home from work with their sick children and then

1 calculating in those costs -- societal costs is I guess  
2 what they call it?

3 **DR. BELL:** Yeah. I guess, you know, actually, if you  
4 want, since Jake is going to be speaking, it might be  
5 easiest to have him comment on it.

6 **DR. SEVERYN:** Okay.

7 **DR. MODLIN:** That's the perfect way to the next item on  
8 the agenda, which is cost-effectiveness studies of hep  
9 A vaccine programs.

10 **DR. BELL:** All right. So this is Jake Jacobs who is  
11 with Capitol Outcomes Research, which is a corporation.

12 Jake has done a number of studies of cost-  
13 effectiveness of hepatitis A and other vaccines. He  
14 has collaborated with us in the past. His work is  
15 primarily, however, sponsored by industry. He has --  
16 He is doing a number of new studies and he wanted to  
17 share some of the results with the ACIP.

18 **DR. JACOBS:** Thank you, Beth. Good afternoon. I  
19 appreciate the opportunity to present some of our work  
20 in this area. I also wish to note that the two cost-  
21 effectiveness studies that I'm going to discuss, in the

1 interest of disclosure, were both funded by SmithKline  
2 Beecham, or now Glaxo SmithKline. I'm also PowerPoint  
3 challenged.

4 In 1999 when this committee approved recommended  
5 routine childhood hepatitis A vaccination in  
6 communities with high disease rates, only, to my  
7 knowledge, preliminary cost-effectiveness data were  
8 available. We have since completed two studies which I  
9 believe provide more definitive data.

10 The first examined adolescent vaccination. It was  
11 initiated just before the recommendation and therefore  
12 looked at a somewhat different geographic area,  
13 specifically the ten states with the highest rates or  
14 disease rates among adolescents and adults.

15 The second study assessed early childhood vaccination  
16 in the 11 states covered by the recommendation. Thus  
17 far, the second, or childhood study, has been only  
18 published in abstract form. We do plan a final paper  
19 upon completion of ongoing analyses of disease  
20 transmission and quality of life, which have not yet  
21 been incorporated into the model. I'll briefly discuss

1 those in a few minutes. In the interest of time, I  
2 will focus my attention on the childhood vaccination  
3 study, mentioning the adolescent study only if -- if  
4 results differ substantially.

5 As I guess most of you know, the United States spends a  
6 lot on medical care, 1.2 trillion dollars per year,  
7 which is more than twice the rate as other  
8 industrialized countries with similar incomes or  
9 economies to ours. Despite this level of spending, our  
10 health outcomes are below average for industrialized  
11 countries. We rank 21st of 24 countries in child  
12 mortality, in infant mortality. We rank 16th in life  
13 expectancy. The only country that -- of those 24  
14 industrialized countries that ranks the lowest on both  
15 of those measures is Turkey.

16 There are many reasons for this poor cost-benefit  
17 ratio. One is, I believe, that we pay drug companies  
18 too much, we pay hospitals too much, we may even pay  
19 physicians too much. But another is that we spend -- a  
20 lot of our health care spending goes towards low-yield  
21 technologies, technologies or medical interventions

1 that cost a lot and produce relatively little benefit.

2 Prevention programs like a hepatitis A vaccination  
3 initiative are designed to reduce disease, not to  
4 reduce costs, and that's probably good. Because most  
5 medical interventions do not reduce costs to the health  
6 care system.

7 According to a review of more than 300 medical  
8 interventions, more than 90 percent increased costs to  
9 the health care system. They don't pay for themselves.  
10 From a cost-effectiveness standpoint, the requirement  
11 is not that medical interventions pay for themselves,  
12 but that their costs be reasonable, or at least in  
13 reasonable proportion to their benefits. While there's  
14 no formal consensus on the issue, the term "reasonable"  
15 is usually taken to mean that the intervention provides  
16 societal benefit over and above health care cost  
17 reduction, say, for example, including the costs of  
18 work loss due to morbidity and mortality. Those  
19 societal benefits exceeding its costs or, if not, the  
20 intervention should cost the health system more than  
21 50,000 dollars -- That's, again, an arbitrary number --

1 50,000 dollars per year of life saved or per quality-  
2 adjusted life year saved.

3 Most childhood vaccines easily meet these standards.  
4 Polio, pertussis, varicella, hepatitis B vaccines each  
5 provide benefits or economic benefits exceeding their  
6 costs. Pneumococcal conjugate vaccine seems to be the  
7 exception, but this analysis is based on the private  
8 sector price, which has since been lowered to the  
9 public sector. Polio and pertussis vaccines, looking  
10 over the cost-per-year-of-life-saved column, are among  
11 the medical -- ten percent of U.S. medical  
12 interventions that actually pay for themselves for  
13 their -- to the health care system. And therefore,  
14 their costs are less than zero dollars per life year  
15 saved.

16 By comparison, we have to spend 16,000 dollars to  
17 28,000 dollars on varicella vaccine or hepatitis B  
18 vaccine for some child, some vaccinee to live an extra  
19 year. Again, the number for pneumococcal vaccine is  
20 relatively high. It's based on the higher price. So  
21 what must be -- may be a very conservative assumption

1 of no protective efficacy after age five.

2 We sought to evaluate where hepatitis A vaccination  
3 would fall under these measures using a Markov model to  
4 examine lifetime hepatitis A outcomes with and without  
5 vaccination. We developed age-specific parameter  
6 estimates from a host of sources. Disease incidence  
7 rates were based on the CDC surveillance data that  
8 we've just seen. Duration of protective efficacy and  
9 disease outcomes were estimated based on expert panel  
10 review of published literature. Hepatitis A treatment  
11 costs were based on our own study of 250 hepatitis A  
12 patients, basically a case series where we used  
13 Medicare reimbursement rates as the surrogate for  
14 treatment costs. Vaccination program costs were based  
15 on both private and public sector costs of vaccine and  
16 the value of hepatitis A-associated work loss was based  
17 on median wages in the United States.  
18 All costs and all benefits, including life years saved,  
19 were discounted to their present value using a three  
20 percent discount rate. Our endpoints were the ratio to  
21 societal benefits to costs, and to the health system

1 perspective, cost per year of life saved.

2 Looking briefly at this rather simple Markov model, an  
3 individual will enter each year of follow-up either  
4 immune or susceptible to hepatitis A. If immune, he  
5 may maintain immunity and repeat the process, die of an  
6 unrelated cause, or lose immunity if it was vaccine-  
7 induced. Susceptibles will most likely avoid hepatitis  
8 A infection in any given year, they may be infected in  
9 which case we calculated age-specific rates of  
10 symptoms, hospitalizations, disease, work loss, et  
11 cetera, or they may die of an unrelated cause. And  
12 this model was repeated from age two through age 100  
13 years, at which point very few were alive at least  
14 within that model.

15 Nearly 950,000 children are born each year in the 11  
16 states covered by the recommendation, and without  
17 vaccination, the upper line of our model would estimate  
18 that 4.4 percent would have symptomatic hepatitis A at  
19 sometime during their lives, about 41,000 in all. With  
20 vaccination, we estimate about a 85 percent reduction  
21 in cases to about 6,200.

1 Looking at some other outcomes, based on the age of  
2 infection and work force participation rates at that  
3 age, the risk of hepatitis A-related work loss is  
4 predicted to decline from 2.3 percent, that is a  
5 lifetime risk of missing work due to hepatitis A  
6 infection, to 0.4 percent. The risk of being  
7 hospitalized for hepatitis A from five per 1,000 --  
8 five and a half per 1,000 to one per 1,000, and the  
9 risk of fatal hepatitis A infection would decline from  
10 1.6 per 10,000 to 0.4 per 10,000. To put that  
11 mortality risk in perspective, it represents just under  
12 one day

13 of -- well, one added day of life expectancy to each child  
14 vaccinated.

15 Looking at vaccination from a cost-benefit framework,  
16 vaccine would cost in a single birth cohort about 26  
17 million dollars. Vaccine administration would cost a  
18 similar amount. So vaccination program costs are  
19 nearly 50 million dollars. In return, hepatitis A  
20 treatment costs would be reduced by about 25 million  
21 dollars. Morbidity costs, that is, work loss due to

1 hepatitis A morbidity would decline 28 million dollars,  
2 and mortality costs due to the relatively few fatal  
3 cases of hepatitis A would decline 52 million dollars.

4 Therefore, for young children, we estimate benefits of  
5 \$2.12 for each dollar invested in the vaccination  
6 program. By comparison, vaccination of adolescents  
7 would provide about \$1.80 in value for every dollar.  
8 From the health system perspective, again, we have  
9 annual vaccination costs of 47 -- or 49.7 million  
10 dollars, offset by treatment costs of 50 million  
11 dollars. When we compare net costs of the vaccination  
12 with longevity gains, we have a ratio of 11,000 dollars  
13 per year of life saved. These data describe  
14 vaccination of two-year-olds. For adolescents, we  
15 calculated a cost-effectiveness ratio of approximately  
16 14,000 dollars per year of life saved.

17 We conducted at least 30 sensitivity analyses. The few  
18 that are shown here had the greatest impact on results.

19 At the lower vaccination costs of the public sector,  
20 VFC or government contract prices, cost-effectiveness  
21 is about 4,600 dollars per life year saved. But even

1 at the private sector price, 19,000 per life year saved  
2 is within the range of other vaccines.

3 There are competing estimates about the completeness of  
4 hepatitis A reporting. Our base case assumes that  
5 about one-third of cases are reported. Last year, Dr.  
6 Baylor, along with Dr. Armstrong of the Hepatitis  
7 Branch, presented a paper debating that the range was  
8 between one-half of cases are reported to one-fifth,  
9 and another analysis out of the L.A. County Health  
10 Department suggested that they're capturing one in  
11 every 5.2 cases.

12 Even if we assume that one-half of cases are reported,  
13 which I think is very optimistic, this is still within  
14 the range of an acceptable cost-effectiveness ratio.  
15 Our estimates of long-term vaccine-protected efficacy  
16 are for cost speculation. If we accelerate the loss of  
17 protection so that none is conferred past 20 years, the  
18 cost-effectiveness ratio, again, increases to about  
19 20,000 dollars per year of life saved. And when we  
20 substitute the incidence rates of the general U.S.  
21 population for the higher rates of these 11 states, the

1 cost-effectiveness ratio is still within the realm of  
2 what most people consider reasonable cost-  
3 effectiveness. So if we look forward to a possible  
4 widening of that initiative, at this point our estimate  
5 is 40,000 per life year saved.

6 Like any similar exercise, there are many potential  
7 sources of error in an analysis that seeks to predict  
8 health outcomes over a lifetime. We're in the process  
9 of revising -- And I'll just touch on these briefly --  
10 three issues. At this point, we have not considered  
11 any of the benefits of reduced disease transmission.  
12 All those benefits and life years saved accrued to the  
13 vaccine use themselves. We're going to address that.  
14 We will reflect, at least in states that have not fully  
15 implemented or largely implemented hepatitis A  
16 vaccination, the more recent data, the lower infection  
17 rates over the last two or three years, and we are  
18 examining the value and quality-adjusted life year  
19 terms of preventing nonfatal hepatitis A.

20 The transmission issue is being conducted by  
21 summarizing the results of six studies of families with

1 hepatitis A. In four of those studies, the immunity  
2 status of household contacts was ascertained upon  
3 identification of an index case of hepatitis A.  
4 Susceptibles were then retested at least twice to  
5 determine whether transmission occurred. Two other  
6 studies used basically the same model, but the outcome  
7 measure was development of overt disease rather than  
8 seroconversion and the denominator included immunes as  
9 well as susceptibles. We've combined the age-specific  
10 transmission rates from these trials with census data  
11 describing household size and age composition and  
12 NHANES data indicating the proportion of members who  
13 would be susceptible to hepatitis A. And if we look at  
14 the vaccination of the 11-state birth cohort of 948,000  
15 individuals, vaccination will prevent nearly 10,000  
16 hepatitis A cases just among family contacts of those  
17 individuals, about 40 percent as many of for vaccinees  
18 themselves.  
19 Again, we will, in our final paper, assess more recent  
20 hepatitis A rates, including the lower rates. As you  
21 can see, if we used more recent data, even for the

1 period 1998 through 2000, hepatitis A vaccination  
2 appears to meet at least conventional standards of  
3 cost-effectiveness.

4 And probably limiting or leaving the last or the most  
5 difficult issue for last, we're collecting the data  
6 necessary to evaluate the prevention of nonfatal  
7 hepatitis A infections in terms of quality-adjusted  
8 life years. The figure to the left displays selected  
9 utility scores, essentially a value of living at any  
10 given health state on a zero-to-one scale. Moderate  
11 acne is considered to be a whole lot worse than perfect  
12 health. Recovery from a bone marrow transplant, at  
13 least in the short-term, is considered low. There are  
14 numerous estimates for utility estimates for chronic  
15 liver disease but none for hepatitis A. We are  
16 obtaining these data through something called the time  
17 tradeoff technique, that is how much of your life  
18 expectancy, if any, would you forego to avoid hepatitis  
19 A symptoms. We've analyzed just at the time of the  
20 slide maybe 10 percent. At this point, about 20  
21 percent of the data that we expect to get and this data

1 is coming from former or recent hepatitis A patients,  
2 as well as the community. We now have a utility value  
3 of 0.57 which is somewhere between the value of life  
4 with frequent migraine headaches or  
5 with -- but not quite as liver cirrhosis. Based on this  
6 estimate, our vaccination of children would cost about  
7 7,600 dollars per quality-adjusted life year term or  
8 among the more effective of interventions assess using  
9 this type of framework.

10 So to wrap up, it's impossible to get economists to  
11 agree on much of anything, but it is generally accepted  
12 that medical technologies can be deemed cost-effective  
13 by meeting one of two standards: either reducing  
14 societal costs for -- to an amount greater than the  
15 cost of the intervention or costing the health system  
16 less than 50,000 dollars per life year saved.

17 Historically, childhood vaccines have easily met the  
18 standards in states covered by the ACIP recommendation.

19 It appears to us that hepatitis A vaccination of young  
20 childhood and adolescents meets them easily as well.

21 Thanks very much.



1 surgical site infections, bloodstream infections, as  
2 well as nosocomial pneumonia, and it's virulent. The  
3 attributable mortality for a catheter-related staph  
4 aureus bacteria approaches 15 to 20 percent. And to  
5 make matters worse, antimicrobial resistance continues  
6 to emerge among isolates of staphylococcal aureus. Now  
7 fully 54 percent of staph aureus isolates causing  
8 infection in American intensive care units are multi-  
9 drug resistant which results in fewer and fewer choices  
10 for effective antibiotic therapy.

11 So a safe and effective anti-staphylococcal vaccine has  
12 been a long-sought goal and would represent a very --  
13 extremely important public health advance. And Dr.  
14 Gary Horwith is here from NABI to give you the follow-  
15 up data. I think last June they were here to give you  
16 the preliminary data leading to their phase III  
17 efficacy trial of their new vaccine product in  
18 preventing staphylococcal bloodstream infections in  
19 end-stage renal disease patients on hemodialysis.

20 **DR. HORWITH:** Thank you, John.

21 Let me just very quickly try to set the stage for the

1 staph vaccine. As John pointed out, staph is indeed a  
2 problem, both for hospitalized as well as community-  
3 acquired individuals. This gives you an indication of  
4 the culture-positive infections, 44 percent of which  
5 are gram-positive. Of those that are gram-positive, 35  
6 percent of them are staph aureus. And that equates to  
7 a little over 1.2 million staph aureus infections  
8 annually.

9 If one looks at the bacteremias in the hospital, about  
10 63 percent of all the bacteremias in the hospital are  
11 actually gram-positive and a majority of those are  
12 staph aureus. In the U.S., approximately nine to 11  
13 million individuals are at risk for nosocomial  
14 infection. During 1999 -- These are all data from the  
15 literature -- about 1.3 million hospitalized patients  
16 had a culture-positive staph aureus infection, the most  
17 common nosocomial pathogen reported in the National  
18 Nosocomial Surveillance System during the six-year  
19 period from 1996. The staph-aureus-associated  
20 hospitalization results had about a two-fold increase  
21 in hospital stay, two-fold increase in deaths, as well

1 as a two-fold increase in medical costs. Methicillin-  
2 resistant staph aureus or the MRSA, as everybody in  
3 this audience is well aware, has become an increasing  
4 problem and it accounts for somewhat more deaths in the  
5 methicillin-sensitive isolates.

6 Looking at the isolates that have been gathered by a  
7 number of laboratories around the world, one sees that  
8 the majority of the isolates, about 85 to 90 percent of  
9 the isolates, are what we refer to as Type 5 or Type 8.

10 Type 336, which I really won't go into today, is  
11 another type that we've identified at NABI, which is  
12 actually a polysaccharide that seems to present on the  
13 cell wall that is expressed or is recognized when there  
14 is a defect in a capsule or the capsule is absent.

15 With regard to resistance, of course, the resistance is  
16 not just limited to the United States where we have  
17 identified methicillin-resistant staph aureus of about  
18 35 to 50 percent, but it is present in Latin America  
19 and Europe as well.

20 Now, we've taken a look at some of the strains that are  
21 antibiotic-resistant, and particularly the one that is

1 getting most of the publicity these days are the  
2 vancomycin-resistents or vancomycin-intermediate  
3 strains. We've looked at active immunization using the  
4 bivalent staph aureus vaccine in an animal model --  
5 It's a (inaudible) model -- and then challenged with  
6 VISA strains and New Jersey strain and demonstrated  
7 that the vaccine, in fact, protects against that in an  
8 animal model. We've looked at 16 VISA strains that are  
9 clinical isolates that have been sent to us from NARSA,  
10 which is the NIH Network on Antimicrobial Resistance in  
11 Staph Aureus, and have identified that 14 of those were  
12 Type 5, one was a Type 8, and one was what we referred  
13 to as Type 336.

14 Just as a quick overview or reminder, the vaccine that  
15 we're talking about today is a conjugate vaccine. We  
16 take the capsule of polysaccharide that's purified from  
17 the staph aureus, either Type 5 or Type 8. We  
18 conjugate that with a detoxified protein from  
19 pseudomonas aeruginosa that is expressed in e.coli that  
20 has been detoxified so it's completely nontoxic, and  
21 through a straightforward conjugation process we have a

1 vaccine which is shown on the bottom.

2 The vaccine at this point has been very well  
3 characterized as a capsular polysaccharide vaccine. We  
4 have also very -- done a lot of characterization of the  
5 recombinant EPA or azoprotein A. from the pseudomonas  
6 and demonstrated that the vaccine is quite stable for  
7 several years now.

8 The preclinical data that led up to the initiation of  
9 the clinical studies really points to several facts  
10 that I'll just highlight here for the sake of time.

11 One is that the capsular polysaccharide is, in fact,  
12 antiphagocytic. It seems to protect the bacteria from  
13 post-immune defenses by cloaking it or hiding it from  
14 the immune system. The antibodies that are generated  
15 are very type-specific and they are responsible for the  
16 opsonophagocytosis, the mechanism by which staph aureus  
17 are cleared in animals, including ourselves.

18 The bivalent vaccine covers about 80 to 85 percent of  
19 the staph aureus pathogens. The conjugate that we  
20 generate is quite immunogenic and induces a very high  
21 affinity and functional antibody. I won't go through

1 all the data that we presented last June, but I think  
2 at this point we'll just point out that the vaccine  
3 generates an antibody that is almost all functional  
4 antibody.

5 There is a linear correlation between the antibodies  
6 and the opsonic activity. The conjugated vaccine has  
7 been demonstrated to be protective in several animal  
8 models, representing different types of infection  
9 modes. The antibiotic-resistant strains, including  
10 VISA strains, did not effect the protective ability of  
11 the vaccine. And finally, infection in humans that had  
12 been superimposed on low levels of antibody which  
13 previously had led people to conclude that staph aureus  
14 antibodies were not protected may be due to various  
15 factors and pre-existing antibodies such as low  
16 affinity and functionality of the normally-acquired  
17 antibodies. I should point out that all of us have  
18 about five to 15 micrograms of staph aureus -- specific  
19 capsular polysaccharide staph aureus antibodies  
20 circulating at any given time. And yet, we are, of  
21 course, always susceptible to repeat staph aureus

1 infections. Nevertheless, we have demonstrated, I  
2 think, in the studies that I'll present right now that  
3 the amount of antibody is inadequate, and it's not that  
4 the antibody is no good, it's just not sufficient.  
5 In 1991, clinical trials were initiated. These were  
6 originally started at the NIH and Walter Reed,  
7 collaborative studies. Those studies demonstrated that  
8 the antibodies are long-lived in normal, health  
9 individuals.

10 In '93, the development of the vaccine was taken over  
11 by Univax, which subsequently became NABI, and we have  
12 conducted some phase 1 and a phase 2 study that led up  
13 to the initiation of the phase 3 study that I will go  
14 onto now in 1998.

15 I would just like to point out that the antibody  
16 response in normal, healthy

17 individuals -- And these are some data from plasma donors  
18 that we vaccinated in order to generated a passive  
19 immune product called AltoStaph [phonetic]. The  
20 antibody response is really quite brisk. We see good  
21 antibody titers at about 10 to 14 days. You can see

1 antibody titers there of about 273, 243 to the  
2 different components, and almost all the individuals  
3 respond.

4 Now, among the end-stage renal disease patients, we  
5 have demonstrated a dose response. This is a  
6 compilation of a couple of studies where we  
7 administered either 25 micrograms of each of the  
8 components, 75 and 55 of the two components, or 118 and  
9 83, which is really comparable to the material that was  
10 used in the phase 3 study. And if you take a look at  
11 the Type 5 and the Type 8 response, looking at day 42,  
12 you can see that there's a dose response.

13 You can't see this very well, but you can see the dose  
14 response here. We've also taken a look at the repeat  
15 vaccination. We have demonstrated that when  
16 individuals are vaccinated early on, that is, at about  
17 six weeks following the first dose, there isn't much of  
18 a boost. That's probably due to high antibody titers  
19 at the time of boosting. However, if we tried to re-  
20 vaccinate individuals at about 18 months after the  
21 first vaccination or the second vaccination, we see

1 that we are able to return those individuals to their  
2 pre-existing antibody levels. Importantly, there's no  
3 increase in the reactogenicity following repeat doses  
4 of the vaccine. I should point out that the vaccine  
5 does not contain any adjuvant.

6 So with regard to the phase 1 and phase 2 studies, we  
7 demonstrated that the vaccine was well-tolerated and  
8 was safe in about 300 individuals we administered it  
9 to. The response was demonstrated in these phase 1 and  
10 phase 2 studies consistently, and for the first time in  
11 end-stage renal disease patients, we were able to  
12 demonstrate that the vaccine was quite immunogenic  
13 against staph aureus.

14 Now, the phase 3 study is the first study that actually  
15 was conducted in order to assess the efficacy of the  
16 staph aureus vaccine and is the first large efficacy  
17 trial that ever has been conducted in end-stage renal  
18 disease patients.

19 As a quick review, this was a double-blinded multi-  
20 center study. It was conducted in northern and  
21 southern California in Kaiser Permanent, Gambro, and

1 TRC dialysis centers. TRC is now referred to as  
2 Davida. All the patients were end-stage renal disease  
3 patients, all on hemodialysis. They were stratified at  
4 study entry by nasal culture, being staph aureus nasal  
5 culture-positive or negative, and they were stratified  
6 by the type of dialysis access that they had. They  
7 were randomized either to receive vaccine and placebo,  
8 and the vaccine dose that was administered was 100  
9 micrograms of each of the capsular polysaccharide  
10 components conjugated to an equal amount of REPA.  
11 Primary endpoint of this study as defined by the  
12 protocol was the number of first-time staph aureus  
13 bacteremias that occurred in the 54 weeks following  
14 vaccination. And as I'll point out, there were a  
15 number of secondary endpoints as well.

16 Now, why did we choose ESRD patients? First, the end-  
17 stage renal disease patient population has a high rate  
18 of infection. So it gave us the ability to use  
19 clinical endpoints because the frequency was high  
20 enough. They have frequent violations of their skin  
21 barrier for the dialysis, usually about three times a

1 week, and they have an indwelling piece of foreign  
2 material, usually a Gortex catheter, a graft, or some  
3 other type of material. However, they also present a  
4 bit of a challenge. End-stage renal disease patients,  
5 by and large, have reduced immune response, they have  
6 impaired neutrafil function, particularly those who are  
7 diabetic, and a large number of these folks are  
8 diabetic, the renal failure, by itself, reduces their  
9 immune function, and they're an elderly population.

10 So our reasoning was that if we could demonstrate  
11 efficacy in this patient population, one would be able  
12 to expect that the vaccine, when administered to more  
13 immunocompetent individuals, would have no problems in  
14 terms of being effective.

15 The individuals are at least 18 years of age. They had  
16 to be stable on a hemodialysis program for at least  
17 eight weeks coming into the study. They had to have  
18 either a fistula or a heterologous graft. Individuals  
19 who had a temporary catheter in place were not eligible  
20 for entry. They could not have any active infection  
21 within two weeks of being vaccinated and they had to be

1 free of any immunosuppressive agents.

2 The stratification numbers coming in, the smallest cell  
3 were those individuals who had a fistula and were  
4 nasal-carriage-positive. That constituted seven and a  
5 half percent of the individuals. The largest group of  
6 these four cells were those who had a graft and were  
7 nasal-carriage-negative, about 55 percent of the folks.  
8 73 dialysis center participated. We screened just  
9 under 2,000 individuals, and of those, 1,804  
10 individuals went on to be vaccinated or receive placebo  
11 material. The last individual was vaccinated back in  
12 August of 1999.

13 Median age of the group was about 60 years. The mean  
14 was 58. The eldest individual in the group was 90  
15 years.

16 The only interesting thing in terms of the demographics  
17 was that, probably because of vessel size, there were  
18 more male subjects with fistula than female, about  
19 three to one, but those with the graft, it was an equal  
20 number. 52 percent of all the subjects were diabetics,  
21 and of those who developed a bacteremia during the

1 course of the study, 65 percent of those were diabetic.  
2 The ethnicity range was quite representative of that  
3 seen in northern and southern California.

4 Of the 1,804 patients who were dosed, we evaluated  
5 1,798. There were six individuals we could not  
6 evaluate because they either had -- were subsequently  
7 found to have an infection at the time of vaccination  
8 or within two weeks or there was other major protocol  
9 violation. So you can see there were roughly 50  
10 percent in either of the two groups.

11 88 percent of the individuals responded to the Type 5  
12 component of the vaccine. 84 percent responded to the  
13 Type 8. And response, for the purpose of the protocol,  
14 was defined as two-fold increase over the baseline as  
15 well as having an antibody titer of at least -- or a  
16 level of at least 25 micrograms per mil.

17 In terms of the safety, the safety profile was pretty  
18 comparable to what one would expect with an  
19 intramuscular vaccine. There was some induration,  
20 erythema, heat, pain, and malaise, and myalgia. The  
21 local reactions, which are the first six up there, were

1 all mild to moderate and they all abated within about  
2 two to three days. None of them -- none of the  
3 reactions required intervention.

4 In terms of serious adverse events, there were several  
5 in the study, as one would expect with end-stage renal  
6 disease patients. In the StaphVAX group, there were  
7 262 serious adverse events and "serious" is the FDA  
8 definition of "serious." Those 262 serious adverse  
9 events occurred among 201 individuals in the placebo  
10 group. And there were 265 serious adverse events that  
11 occurred among 213. None of these events were  
12 considered to be related to the vaccine or placebo.  
13 If one just looks at deaths -- And bear in mind that  
14 the study was powered to detect the difference in  
15 mortality -- there were 152 deaths in the StaphVAX  
16 group, 146 in the placebo group, and retrospectively,  
17 going back and looking at these deaths and trying to  
18 account for whether or not they were related to staph  
19 aureus bacteremia, either temporally or because there  
20 was a clear-cut clinical association, you see that  
21 there were nine and 11. This was not significant.

1 If one looks at the efficacy of the vaccine, bear in  
2 mind again that the pre-defined outcome of the study  
3 was efficacy at 54 weeks. If you go to that particular  
4 row, you see that there are 27 bacteremias in the  
5 StaphVAX group, 37 in the placebo group, for a 26  
6 percent reduction in bacteremias. That was not  
7 statistically significant. However, if one looks at  
8 earlier time points, and this was consistent in all the  
9 earlier time points, there was efficacy, and the  
10 efficacy peaked at around 60 percent. I'm showing you  
11 here the efficacy at -- the interval between week two  
12 and week 40 where the efficacy was 57 percent and that  
13 is statistically significant, although this is, of  
14 course, a look at an interval that was not the  
15 predefined endpoint study.

16 We did not, via protocol definition, collect all the  
17 isolates, but we elected to do so after the study  
18 started. We were able to recover 71 percent of the  
19 isolates and they were then typed. Of those 71 percent  
20 of the isolates that we recovered, 80 percent of them  
21 were, in fact, Type 5 or Type 8, exactly what we had

1 predicted from the sero surveys that had been done  
2 previously.

3 Interestingly, the risk for bacteremia was highest  
4 among those who were nasal-carriage-positive and  
5 highest for those, of course, in the placebo group. So  
6 the risk for staph aureus bacteremia was 7.2 percent  
7 for individuals who were nasal-carriage-positive and  
8 received placebo; 3.2 percent for those who were nasal-  
9 carriage-positive and received the StaphVAX, which was  
10 the same for all the individuals who were nasal-  
11 carriage-negative.

12 Now, we looked at several post hoc analyses and, first,  
13 a bit of a disclaimer as we begin to look at these.  
14 Ordinarily, one does not like to look at post hoc  
15 analyses because, either intentionally or  
16 unintentionally, one can have a bias. We looked at  
17 post hoc analyses of two different methodologies, the  
18 permutational analysis in the cubic-spline. These two  
19 particular analyses do not subset the data, so we're  
20 not, quote, "cherry-picking" data. All the data is  
21 used.

1 And these methods also adjust for the statistical  
2 significance of a post hoc analysis and looking at the  
3 data numerous times.

4 In the permutational analysis, just as a quick way of  
5 explanation, we generated 10,000 data sets for -- from  
6 all the 1,798 subjects. That is the entire sample  
7 size. And we compare each of these data sets to the  
8 true outcome generated from the staph vaccine  
9 recipients. The outcomes are tested for contiguous  
10 efficacy for any period that we felt would be  
11 clinically relevant, that is a period of at least 180  
12 days. In addition, we also did the same type of  
13 analysis, which is referred to a weighted efficacy  
14 analysis, adding a bonus for those individuals who  
15 remained infection-free for a longer period of time  
16 than the 180 days. You can see the results of that.  
17 The P value for the contiguous efficacy was 0.012 or  
18 13, with fairly tight -- 95 percent confidence  
19 intervals, and the same type of P value for the  
20 weighted contiguous efficacy, P value of 0.023.  
21 Now, without focusing on the magnitude of the curve

1 here, I would just like to point out that if one looks  
2 at the blue and the green curves first, these are the  
3 antibody levels following vaccination. The interval  
4 between two weeks and six weeks is broken because we  
5 did not actually measure antibody levels at that point.

6 The first time point following vaccination was  
7 actually at 42 days or six weeks. And one can see that  
8 both the Type 8 and the Type 5 components generated  
9 very respectable antibody levels of approximately 220  
10 or 180, close to 200, micrograms per milliliter for the  
11 two components. However, the antibody levels waned  
12 fairly rapidly. So that by around 38 weeks, 40 weeks,  
13 the antibody levels had dropped down to about 100 or 80  
14 micrograms.

15 If we look at the efficacy using the cubic-spline  
16 analysis, we see that the efficacy drops off at about  
17 40 weeks, which if one superimposes the two curves,  
18 corresponds to a protective antibody level using  
19 population-based analysis of about 80 to 100 micrograms  
20 per mil.

21 Now, these particular values, first off, are

1           extraordinarily high compared to other vaccines. Going  
2           into the study, we have absolutely no idea what a  
3           protective antibody level of the vaccine should be or  
4           what the antibody should be and we also had no idea as  
5           to the duration of protection, given even -- Setting  
6           aside the fact that we didn't know what a protective  
7           level was, we didn't know how long the vaccine would be  
8           able to mount a protective level among these  
9           individuals. So the time point that we chose of 54  
10          weeks was, in fact, an arbitrary time point, but one  
11          that was felt to be reasonable.

12          If one looks at Kaplan-Meier survival-type of analysis  
13          -- but this is referring to infections, not mortality -  
14          - one can see that if you follow this out over the  
15          entire course of the study, there was no particular  
16          efficacy as at ratio .75 and P value .195. However, if  
17          we back up to the time point where we feel that we have  
18          demonstrated a protective level of antibody at  
19          approximately 40 weeks, one sees that the hazard ratio  
20          is about .43 with a P value of about .02.

21          So we feel that the StaphVAX at this point has

1 demonstrated efficacy in the ESRD patients through  
2 approximately ten months, shown by a reduction in  
3 bacteremias. These protective antibody levels of 80 to  
4 100 micrograms correspond to that level of -- over that  
5 period of protection and the vaccine was very well  
6 tolerated.

7 The potential impact, if one extrapolates to the  
8 literature, again, going back to about 246,000  
9 individuals at risk, that is 246,000 end-stage renal  
10 disease patients on dialysis, with a bacteremia  
11 incidence of about five percent, equates to about  
12 12,300 bacteremias annually. If the StaphVAX is, in  
13 fact, 60 percent effective or 60 reduction in  
14 bacteremias, one would be left with about 4,920  
15 bacteremias or a saving or prevention of about 7,200 or  
16 7,300 bacteremias annually.

17 If the vaccine is not able to be boosted, which we  
18 certainly plan to evaluate, one would still a savings  
19 over the ten months of vaccine efficacy of about 6,150  
20 bacteremias prevented.

21 In summary then, we feel that the StaphVAX provided

1 significant protection against staph aureus bacteremia  
2 in this immunocompromised group. It was statistically  
3 significant in affording protection for about 40 weeks.

4 It was safe, well-tolerated, and it was the first  
5 placebo-controlled demonstration of efficacy of any  
6 bacterial vaccine in an immunocompromised population  
7 with underlying disease, in this case end-stage renal  
8 disease.

9 Once again, we feel that the clinical efficacy  
10 demonstrated in this group of patients, which is a  
11 worst-case at-risk population, along with  
12 immunogenicity studies that we have planned for other  
13 populations, should put this vaccine in, I think, good  
14 stead to be something that can be added to the  
15 practitioner's toolbox.

16 **DR. MODLIN:** Dr. Horwith, thank you. Questions?  
17 Natalie?

18 **DR. SMITH:** It's probably too late in the day to ask  
19 for an explanation of a cupric-spline analogy, but I'm  
20 not -- it seemed like efficacy was coming back up as  
21 time went along and --

1       **DR. HORWITH:** This is why I asked you not -- I'm  
2 showing it knowing that somebody was going to pick up  
3 on this anyway.

4       The dip in the curve at the end is statistically no  
5 different than zero efficacy. You did not increase the  
6 risk. The vaccine simply lost it's efficacy at  
7 approximately 40 weeks. And what you see from that  
8 point on is that the vaccinees behaved essentially the  
9 same as placebo recipients.

10       **DR. MODLIN:** Myron?

11       **DR. LEVIN:** Yes. When you -- Is there any direct side  
12 effect to this antibody on the staph or is it basically  
13 enhancing phagocytosis?

14       **DR. HORWITH:** It's an up-sizing antibody. So, you  
15 know, you know the mechanism requires the antibody up  
16 up-sizing, the [inaudible] for phagocytosis on -- and  
17 complement. This provides us the up-size in antibody.

18       It's a highly-functional --

19       **DR. LEVIN:** So there may be a limit on how it could be  
20 used in immunocompromised people. You had a statement  
21 in there how it would be valuable in immunocompromised

1 people, but I guess it would depend on whether they  
2 still had sufficient phagocytic function.

3 **DR. HORWITH:** That's correct. If you were to  
4 extrapolate that to that individual who had no  
5 neutrophils, for instance, yes.

6 **DR. LEVIN:** Did it have an effect on carrier state?

7 **DR. HORWITH:** No, it did not.

8 **DR. LEVIN:** And you show that --

9 **DR. HORWITH:** Let me just clarify that.

10 **DR. LEVIN:** I mean, it would fit with what you said  
11 about phagocytosis.

12 **DR. HORWITH:** Yeah. We did not do any nasal carriages.

13 In this particular study, we only looked at the nasal  
14 carriage for the stratification. I think in future  
15 studies, it would be interesting to see if it, in fact,  
16 would have any impact along the way, but in this  
17 particular study, we did not do repeat cultures, nasal  
18 cultures.

19 **DR. LEVIN:** You said the breakthrough bacteremias were  
20 the types that you would expect 80 percent of the time,  
21 but that was for the overall group. Was there a

1 difference in the vaccinated group versus the  
2 nonvaccinated group and was there a trend?

3 **DR. HORWITH:** No, there wasn't. And we didn't do  
4 specific analysis of the subtypes because we had a fair  
5 number of isolates we couldn't recover. So we really  
6 have no way of accounting for that.

7 **DR. LEVIN:** And you did mouse studies previously. You  
8 must have had some idea of what the protective level  
9 was in the mouse also?

10 **DR. HORWITH:** Yes.

11 **DR. LEVIN:** And was that, in any way, similar to what  
12 you found here?

13 **DR. HORWITH:** Yes.

14 **DR. MODLIN:** Paul? I'm sorry, Myron, are you finished?

15 **DR. LEVIN:** Yes. Thank you.

16 **DR. OFFIT:** Two quick questions.

17 Do you have plans for looking at hosts other than those  
18 with end-stage renal disease and do you plan to do  
19 studies of booster dosing?

20 **DR. HORWITH:** Yeah. We actually have a study planned  
21 that should begin in April to vaccinate and re-

1 vaccinate about 150 individuals who were vaccinees in  
2 this previous study to see whether they will go back up  
3 to their previous levels. They're now about a year to  
4 two years out from their first dose of vaccine.  
5 We also plan to do some immunogenicity studies in other  
6 patient populations, such as cabbage [phonetic]  
7 patients, orthopedic hip surgery for prosthetic devices  
8 and so forth.

9 **DR. MODLIN:** Myron?

10 **DR. LEVIN:** Just one other question.

11 In the people who broke through, who had the  
12 bacteremias, did you get blood serum samples at the  
13 time they were bacteremic?

14 **DR. HORWITH:** No. We only collected serum --

15 **DR. LEVIN:** Along the way did you have them?

16 **DR. HORWITH:** We collected four specimens only during  
17 the 54-week course of the study. So it's -- it is very  
18 difficult to be able to extrapolate.

19 **DR. LEVIN:** Was there any relationship between those  
20 people who had bacteremia and having had a poor  
21 response or lower levels?

1 DR. HORWITH: No, not on an individual basis.

2 DR. MODLIN: Bob?

3 DR. CHEN: Is there any correlation between end-stage  
4 renal disease and the immunogenicity and then their  
5 efficacy?

6 DR. HORWITH: No. We didn't stage or stratify the  
7 level or the length of time somebody had been on  
8 dialysis. All individuals -- It was open to all-  
9 comers, as long as they were on a stable regimen of  
10 either fistula or [inaudible] graft axis. And we  
11 didn't -- we didn't do anything else to try to stratify  
12 that.

13 DR. MODLIN: Further questions? Dr. Horwith, do you  
14 want to tell us, in two sentences, up-to-date where you  
15 are with your development plans?

16 DR. HORWITH: As I pointed out, we are going to be  
17 doing a booster study with individuals who are  
18 vaccinated previously. We are planning for an  
19 additional phase 3 study, probably in the same patient  
20 population, but we're not sure. This is something  
21 we're still discussing with FDA, and I certainly won't

1 put Karen on the spot to make any comments about that,  
2 but we've had -- of course, we've had discussions with  
3 FDA and their position at this point is that since we  
4 did not reach the protocol-defined endpoint, another  
5 phase 3 study would be required. So we are making  
6 plans for that.

7 **DR. MODLIN:** Terrific.

8 **DR. SNIDER:** Dixie Snider.

9 In terms of planning, John, I think we should ask  
10 whether this is one of those recommendations that we  
11 would be working with HCPAC in developing -- we worked  
12 with them in the past on other recommendations, the one  
13 that I was involved in with PCG vaccine for health care  
14 workers. It seems to me that given the types of  
15 patients they're talking about testing this vaccine on  
16 that it might be appropriate to have HCPAC and ACIP  
17 work together.

18 **DR. MODLIN:** I think when the time comes, that would be  
19 a -- should be the appropriate thing to do. I agree.  
20 Thank you. We appreciate it.

21 We do have two people who have signed up for public

1 comment. The first is Ms. Lynn Redwood from Safe Minds  
2 and the second is Dr. Kristine Severyn. I'm going to  
3 ask if each of you would please try to confine your  
4 comments to five minutes or less. And then a note that  
5 Gloria has handed me to let members of the Committee  
6 and others know that flights to Baltimore, Louisville,  
7 and Philadelphia are presently running on time. That's  
8 the best information we have at the moment.

9 Ms. Redwood?

10 **MS. REDWOOD:** Hi. Thank you for this opportunity to  
11 speak.

12 Mainly, I wanted to share with you my disappointment  
13 over the issue of not giving preference to thimerosal-  
14 free vaccines and that it wasn't even addressed by the  
15 Committee during this meeting. And I guess the reason  
16 for my disappointment stems from hearings that were  
17 held this past July where Dr. Roger Bernier testified  
18 before the Government Reform Committee regarding the  
19 utilization of thimerosal in vaccines. During the  
20 hearing, Dr. Bernier committed under oath that  
21 thimerosal would be removed from infant vaccines in

1 early 2001. Again, this past December, U.S. House  
2 Representative Matt Collins spoke with CDC and was  
3 assured, and assured me, that at the February 2001 ACIP  
4 meeting preference would be given to thimerosal-free  
5 vaccines for infants. But yesterday I heard from Dr.  
6 Bernier that it's a moot point now.

7 And for the life of me, I guess I just really don't  
8 understand this. I heard yesterday, as I did June of  
9 last year, that SmithKline Beecham, the maker of  
10 Infanrix, has enough vaccine readily available to meet  
11 the needs of every infant born in this country the  
12 first six months of life.

13 So I don't understand why preference cannot be given to  
14 a thimerosal-free vaccine the first six months and then  
15 administer the other vaccines that contain thimerosal  
16 for the fourth and fifth doses. You may very well be  
17 in a shortage situation regardless of whether or not  
18 you would give preference to thimerosal-free vaccines.  
19 The other comments I wanted to make is yesterday there  
20 was some information about the vaccine safety data that  
21 I felt might be little misleading. First, that

1 particular report was not expected to provide evidence  
2 to either support or refute the existence of a causal  
3 relationship. The data say that the implications of  
4 the study were profound. A comment was made yesterday  
5 that there was not a statistically-significant  
6 association between the incidence of autism with  
7 thimerosal-containing vaccines, but what I would like  
8 to point out is that the children that were in that  
9 study, the average age was only three and a half years,  
10 and they're much too young to have a diagnosis of  
11 autism. Autism is not typically diagnosed until an  
12 infant is six years of age.

13 I can tell you what you will see as a diagnosis:  
14 speech and language delays; neurodevelopmental delays;  
15 ticks; echolalia, which falls under that category. I'm  
16 met with Dr. VerStaaden when the last round of data  
17 became available and the numbers for autism had  
18 increased from 67, which was reported last year, to now  
19 187, which is what I would expect to see as the  
20 children get older.

21 Concerning the Harvard Pilgrimage data, the VSD data

1 had 213,000, where the Harvard data only had 30,000  
2 children. The data was nowhere near as robust or as  
3 accurate as the VSD data and it was only added in after  
4 the initial VSD data became available. So it's just my  
5 opinion that the VSD data sort of draws questions to  
6 the Harvard Pilgrimage data.

7 The other concerns that I have is the way that FDA  
8 analyzed the amount of thimerosal that our children  
9 have received. They took the exposures and they  
10 averaged them over a six-month period of time. And if  
11 you talk to any toxicologist, they will tell you that  
12 you can't legitimately do that. Mercury has a long  
13 half-life. Because of the inherent pharmacokinetics, you  
14 cannot compare a large bolus dose to small daily doses.

15 What the FDA is trying to assert is that giving  
16 somebody two Tylenol a day for 60 days has the same  
17 effect as giving 120 Tylenol all in one day, which we  
18 know defies common sense and sound medical practice.  
19 The fact is that any one thimerosal-containing vaccine  
20 result in daily exposures in excess of all federal  
21 safety guidelines. Mercury modeling done by myself and

1 also Dr. Neal Halsey clearly demonstrates mercury  
2 levels above the range of the lowest observable effect.

3 As I mentioned yesterday, mercury toxicity is highly  
4 variable. If you remember acrodynia back in the  
5 1950's, out of 500 children exposed to mercury in TD  
6 powders, only one would develop acrodynia. And I think  
7 this is the same type of susceptibility we're seeing in  
8 thimerosal vaccines.

9 We're in the midst of an autism epidemic whether we  
10 acknowledge it or not. Autism went from an incidence  
11 of two to four per 10,000 in 1970 to one in every 250  
12 today which was found in New Jersey. If you looked at  
13 the broader -- where you included pervasive  
14 developmental disorder and [inaudible], the incidence  
15 is one in every 150. In California in Granite Bay, the  
16 incidence is one in every 132 children and in my county  
17 here in Georgia, last year in kindergarten, the  
18 incidence was one out of every 125 children with a  
19 diagnosis of autism.

20 I don't think we can ignore this any longer. This  
21 dramatic rise began in the late 1980's and early 1990's

1 and that was with the introduction of two new vaccines,  
2 hepatitis A and hib, which both contain thimerosal,  
3 which essentially triple a child's exposure to mercury  
4 the first six months of life.

5 I guess what I would like to know is, is it worth the  
6 risk when you can void it all together by giving  
7 preference to thimerosal-free vaccines the first six  
8 months of life? You won't be putting any jeopardy of a  
9 vaccine-preventable disease or permanent  
10 neurodevelopmental disability. So I would like to know  
11 from the Committee members if this is, in fact, a moot  
12 point now?

13 **DR. MODLIN:** Ms. Redwood, you've raised a number of  
14 issues and, obviously, we can't respond to all of them.

15 I think perhaps I can or I'll attempt to speak for the  
16 Committee on the major issue you raise which is not  
17 wanting to express a preference for thimerosal-free DTP  
18 vaccine at this point in time. We did sit through a  
19 rather worrisome presentation yesterday on the vaccine  
20 supply, of course. And I think you probably heard a  
21 fairly extensive discussion of concern not only by the

1 members of the Committee but representatives of the  
2 Academy of Pediatrics and many others in the room that  
3 there is real concern that even -- even -- that we are  
4 truly having to even there make choices that we didn't  
5 want to make as to whether or not to possibly increase  
6 the exposure and the risk of young children to  
7 pertussis versus the increased risk of perhaps  
8 diphtheria and even tetanus in some children. I think  
9 that the -- even though we didn't necessarily address  
10 the issue head-on, I think the clear -- if I put things  
11 in a longer-term perspective, I think I speak for the  
12 Committee by saying that we feel at this point in time,  
13 given the information that is available to us -- that  
14 we feel that the risk of these diseases outweighs -- at  
15 the moment outweighs what we feel to be, at best, a  
16 theoretical risk from the thimerosal.

17 **MS. REDWOOD:** But we're not talking about not  
18 vaccinating.

19 **DR. MODLIN:** No. But even with that, we felt that the  
20 risk of disease would continue for these very important  
21 diseases and be very real. And I think that was the

1 message that came across yesterday.

2 I don't want to engage in an argument. Unfortunately,  
3 we don't have the time, but I did feel it was probably  
4 necessary to respond. I don't know if other members of  
5 the Committee would want to speak for themselves, but I  
6 get the sense I have from the discussions that we had  
7 yesterday.

8 I'm going to ask that we go on to the next individual  
9 who has asked to make a public comment, and that's Dr.  
10 Kristine Severyn.

11 **DR. SEVERYN:** Thank you.

12 This is a question and maybe a request, both. I was  
13 wondering if there was an ACIP statement on the use of  
14 Synogis [phonetic] for respiratory syncytial virus in  
15 premature infants. Is there an actual ACIP statement?

16 I mean, I come to all these meetings and maybe I was  
17 sleeping, but I don't know if I saw an actual ACIP  
18 recommendation for this product.

19 **DR. MODLIN:** Certainly, the Academy of Pediatrics has a  
20 statement on the use of Synogis [phonetic] and other  
21 immunoprophylactic agents for the prevention of RSV

1 infection. I don't believe that the ACIP has taken  
2 that on. And when we had discussed it in a -- in sort  
3 of a peripheral sort of way or tangential sort of way,  
4 I think it's been the preference of this Committee to  
5 leave that primarily to the Academy of Pediatrics.

6 **DR. SEVERYN:** Okay. Because that was a request.

7 Because I hear of so many families around the country  
8 whose children are taking this product, and I've been  
9 told it's 1,000 dollars a shot. And I would think that  
10 maybe the Committee -- maybe a request or a question  
11 that maybe if you could consider that in future --  
12 because there's so many little children taking that  
13 product right now. And if -- I guess maybe another  
14 question, if -- why -- since all these other statements  
15 are made on different products, like a gentleman just  
16 came today and spoke on the staph vaccine. That's used  
17 for a small number of people. You know, I guess maybe  
18 -- not to be smart-aleky, but why not differ to the  
19 renal experts on this? Why does he have to come here?

20 Do you see what I'm saying? So that's a similar type  
21 of thing. Could you -- So my request is, would you

1 consider studying the Synogis [phonetic] issue in a  
2 more public forum? It's getting hard for people to  
3 find out information about it.

4 **DR. MODLIN:** I hope people are not having any  
5 difficulty finding out information about it. I think  
6 there probably is -- there are sources where there  
7 would be --

8 **DR. SEVERYN:** Yeah, the Freedom of Information Act.  
9 That's what we're having problems with.

10 **DR. MODLIN:** It's a biologic agent that's licensed by  
11 the Food and Drug Administration. And therefore, I'm  
12 certain that there's an immense amount of information  
13 that's clearly available.

14 **DR. SEVERYN:** So ACIP would not consider this or would  
15 you consider it?

16 **DR. MODLIN:** I think that's something we need to take  
17 under advisement --

18 **DR. SEVERYN:** Okay.

19 **DR. MODLIN:** -- Dr. Severyn.

20 **DR. SEVERYN:** Thank you so much.

21 **DR. MODLIN:** Any other comments or questions?

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21

(NO RESPONSE)

**DR. MODLIN:** If not, the meeting is adjourned. We'll see you in June.

(Whereupon, the meeting was adjourned at approximately 3:40 p.m.)

- - -

C E R T I F I C A T E

STATE OF GEORGIA

COUNTY OF FULTON

I, PAMELA T. LENNARD, BEING A CERTIFIED COURT REPORTER AND NOTARY PUBLIC IN AND FOR THE STATE OF GEORGIA AT LARGE, HEREBY CERTIFY THAT THE FOREGOING IS A TRUE AND COMPLETE TRANSCRIPTION OF SAID PROCEEDINGS; AND THAT I AM NEITHER A RELATIVE, EMPLOYEE, ATTORNEY, OR COUNSEL OF ANY OF THE PARTIES, NOR A RELATIVE OR EMPLOYEE OF SUCH ATTORNEY OR COUNSEL; NOR FINANCIALLY INTERESTED IN THE ACTION.

WITNESS MY HAND AND OFFICIAL SEAL, THIS, THE 22ND DAY OF MARCH, 2000, IN ALPHARETTA, FULTON COUNTY, GEORGIA.

---

PAMELA T. LENNARD, CCR, CVR

CERTIFICATE NUMBER B-1797  
(CCR SEAL - NOTARY SEAL)